

UNITED STATES DEPARTMENT OF LABOR

+ + + + +

ADVISORY BOARD ON TOXIC SUBSTANCES  
AND WORKER HEALTH

+ + + + +

SUBCOMMITTEE ON SITE EXPOSURE MATRICES (AREA #1)

+ + + + +

MEETING

+ + + + +

TUESDAY,  
SEPTEMBER 20, 2016

+ + + + +

The Subcommittee met telephonically at  
1:00 p.m. Eastern Time, Laura Welch, Chair,  
presiding.

MEMBERS

SCIENTIFIC COMMUNITY:

JOHN M. DEMENT

MEDICAL COMMUNITY:

STEVEN MARKOWITZ

LAURA S. WELCH, Chair

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

CLAIMANT COMMUNITY:

KIRK D. DOMINA  
GARRY M. WHITLEY

OTHER ADVISORY BOARD MEMBERS PRESENT

FAYE VLIENER

DESIGNATED FEDERAL OFFICIAL:

CARRIE RHOADS

## C-O-N-T-E-N-T-S

Introductions .....	4
Request for data on claims by specific ICD codes.....	9
Request for case examples with presumptions .....	34
DOL's response to IOM report on SEM .....	39
Request for access to SEM database .....	50
Review of OHQ completed at resource centers .....	62
Development of the 1995 memo .....	84
Adjourn .....	106

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

P-R-O-C-E-E-D-I-N-G-S

1:01 p.m.

MS. RHOADS: Good morning, everyone,  
or good afternoon, depending on where you are.

My name is Carrie Rhoads, and I'd like  
to welcome you to today's teleconference meeting  
of the Department of Labor's Advisory Board on  
Toxic Substances and Worker Health, the  
Subcommittee on Site Exposure Matrices or SEM,  
S-E-M.

I'm the Board's Designated Federal  
Officer, or DFO, for today's meeting.

First, we appreciate the time and the  
work of our Board members in preparing for this  
meeting, and for all their forthcoming work.

I'll introduce the Board members on the  
subcommittee, and we'll do a quick roll call. If  
you could just respond quickly to when I say your  
name.

Dr. Laura Welch is the Chair of the  
subcommittee.

CHAIR WELCH: I'm here.

1 MS. RHOADS: And the members are Dr.  
2 John Dement.

3 MEMBER DEMENT: Here.

4 MS. RHOADS: Mr. Garry Whitley.

5 MEMBER WHITLEY: Here.

6 MS. RHOADS: Mr. Kirk Domina. Oh, I'm  
7 sorry. We'll have to move on. Mr. Mark Griffon  
8 will not be joining the call today. Dr. Steven  
9 Markowitz.

10 MEMBER MARKOWITZ: Here.

11 MS. RHOADS: And he is also the Chair  
12 of the Board. And Ms. Faye Vlieger, another member  
13 of the Board who is also on the line.

14 We are scheduled to meet from 1:00 to  
15 3:00 p.m. Eastern Time. In the room with me is  
16 Melissa Schroeder from SIDEM, our contractor.

17 Regarding the meeting today, it's a  
18 two-hour meeting, so we're not planning on taking  
19 any breaks unless someone needs to. Copies of  
20 all meeting materials and any written public  
21 comments are or will be available on the Board's  
22 website under the heading "Meetings" and the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 listing there for this subcommittee meeting.

2 The documents will also be up on the  
3 WebEx screen, so everyone can follow along with the  
4 discussion.

5 The Board's website can be found at  
6 [dol.gov/owcp/energy/regs/compliance/advisoryboa](http://dol.gov/owcp/energy/regs/compliance/advisoryboard.htm)  
7 [rd.htm](http://dol.gov/owcp/energy/regs/compliance/advisoryboard.htm).

8 If you haven't already visited the  
9 Board's website, I encourage you to do so.  
10 Clicking on today's meeting date, you'll see a page  
11 dedicated entirely to today's meeting. The web  
12 page contains publicly-available materials  
13 submitted to us in advance of the meeting. And we  
14 will publish any materials that are provided to the  
15 subcommittee. There, you should also find today's  
16 agenda as well as instructions for participating  
17 remotely.

18 If you are participating remotely and  
19 you're having a problem, please email us at  
20 [EnergyAdvisoryBoard@dol.gov](mailto:EnergyAdvisoryBoard@dol.gov).

21 If you're joining by WebEx, please note  
22 that the session is for viewing only and will not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 be interactive. The phones will also be muted for  
2 non-Advisory Board members.

3 Please note that we do not have a  
4 scheduled public comment session today. The  
5 call-in information has been posted on the Advisory  
6 Board website, so the public may listen in but not  
7 participate in the subcommittee's discussion.

8 The Advisory Board voted at its April  
9 meeting that subcommittee meetings should be open  
10 to the public, so a transcript and minutes will be  
11 prepared from today's meeting.

12 During our Board discussion today, as  
13 we're on a teleconference line, please speak  
14 clearly enough for the transcriber to understand.  
15 When you begin speaking, especially at the start  
16 of the meeting, please state your name so we can  
17 get an accurate record of the discussion.

18 Also, I'd like to ask our transcriber to  
19 please let us know if you're having an issue with  
20 hearing anyone or with the recording.

21 As DFO, I see that the minutes are  
22 prepared and ensure they're certified by the Chair.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 The minutes of today's meeting will be available  
2 on the Board's website no later than 90 calendar  
3 days from today, per the FACA regulations. If  
4 they're available sooner, they'll be published  
5 before the 90th day.

6 Also, although formal minutes will be  
7 prepared, we'll also be publishing verbatim  
8 transcripts which are, obviously, more detailed in  
9 nature. Those transcripts should be available on  
10 the Board's website within 30 days.

11 I'd like to remind the Advisory Board  
12 members that there are some materials that have  
13 been provided to you in your capacity as special  
14 government employees and members of the Board,  
15 which are not for public disclosure and cannot be  
16 shared or discussed publicly, including in this  
17 meeting. Please be aware of this as we continue  
18 with the meeting today.

19 The materials can be discussed in a  
20 general way, which does not include using any  
21 personally identifiable information, such as  
22 names, addresses, specific facilities, if a case

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 is being discussed, or a doctor's name.

2 And with that, I convene this meeting  
3 of the Advisory Board on Toxic Substances and  
4 Worker Health, Subcommittee on Site Exposure  
5 Matrices. I'll turn it over Dr. Welch, who is the  
6 Chair of the subcommittee.

7 CHAIR WELCH: Thank you, Carrie.

8 I had an agenda, and I kept cutting  
9 things out, so we can work through the couple of  
10 things that I had written down. And then we've  
11 added some more information about -- we're going  
12 to call on the 1995 circular.

13 So what I thought we would do first is,  
14 I've asked you to look at some case files. And I  
15 wanted to make sure that everybody had kind of an  
16 understanding of the process. Or if you had  
17 questions or other information you wanted. The  
18 idea was to look at some of the beryllium cases,  
19 even though that's not in our technical subject  
20 area, to understand what comes with that and how  
21 they're handled.

22 And I've seen many before. I wasn't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 sure that John or Mark had seen these kind of  
2 issues. Kirk probably has as well. So we'll be  
3 discussing those. Were there other things that  
4 people wanted to know about the flow or any  
5 discussion points that you wanted? Anything that  
6 you wanted to talk about, looking at those cases?  
7 Okay.

8 (Laughter.)

9 MEMBER MARKOWITZ: Laurie. This is  
10 Steve Markowitz. It'd be a lot easier for all  
11 those separate files with each individual record  
12 were merged so you didn't have to keep opening and  
13 closing files.

14 CHAIR WELCH: That, too. Or even if  
15 they had a date on them, you know.

16 MEMBER MARKOWITZ: Right. Yes,  
17 something about the title of them. But anyway,  
18 that's just a minor issue.

19 CHAIR WELCH: That's true. It did  
20 make it harder to peruse. And I guess if we ask  
21 for other case files, then we can definitely make  
22 that request, that those files be merged in some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 way, or put them all in one PDF.

2 MEMBER MARKOWITZ: Right. Put all the  
3 medical records together, all the certain types of  
4 records together, so it's easier to just flip  
5 through them.

6 CHAIR WELCH: Yes. That's a very good  
7 idea. That's true.

8 Okay. Now, the other thing I wanted to  
9 talk about, which will take us a little more time,  
10 is we had requested data. And Carrie put out the  
11 memo I sent you. It's what I'm going to run through  
12 now.

13 We had more information on claims by  
14 specific ICD codes so that we can get an idea of  
15 what people are filing for and what's happened to  
16 those cases. We've asked for the site and whether  
17 the claims were accepted or denied, and a reason  
18 for denial.

19 What Steven and I found out through some  
20 interim informational calls with DOL staff is that  
21 they don't really code incoming claims in a  
22 systematic way. I think they do designate them as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a category, like pulmonary disease. But sometimes  
2 the claim is just given a name, COPD, but not a code.

3 So in order to find all of the COPD  
4 cases, which Doug Pennington did provide for us,  
5 he had to do the logic that's attached to the  
6 document I send you. But it would be almost  
7 impossible for him to do that for all records.

8 We can go back and ask for this kind of  
9 detailed data on another diagnosis or diagnosis  
10 category, but probably, we couldn't really get what  
11 we had wanted, which would be a list of the kind  
12 of things -- the medical information on claims and  
13 then what are people filing for.

14 I do think we can get it in the, you  
15 know, ten major categories: pulmonary disease,  
16 heart disease, COPD. Because I've seen that in the  
17 annual reports from DOL. They use these, I think  
18 it's ten categories and then "Other". But until  
19 we go back and ask about those, I'm not sure we could  
20 get the breakdown and then know how many are denied  
21 or accepted. We just have to go back and ask and  
22 see what we can get.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           It's a very different response to know  
2           that we really can't get a data dump of claim files  
3           by a data classification. Giving it by one  
4           specific disease, I don't find that very helpful.  
5           So I would like to, you know, spend a few minutes  
6           discussing where we go from here.

7           MEMBER MARKOWITZ: Laurie, can I just  
8           interrupt for one second?

9           CHAIR WELCH: Absolutely.

10          MEMBER MARKOWITZ: Yes, Steven  
11          Markowitz. I have a question about the  
12          explanation of this table of data they gave us.  
13          And my apologies for the people on the call who  
14          aren't looking at it or don't have access to this  
15          table. But I will just describe what it is.

16                 There are certain individual cases in  
17          which one column indicates that the claim was  
18          denied. Yet they still seem to contain ICD codes  
19          and ICD code description. And so --

20          CHAIR WELCH: You're looking at the  
21          spreadsheet that we got on the CD?

22          MEMBER MARKOWITZ: Yes, yes, yes. And

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 there are any number claims like this, you'll  
2 readily seen them, in which it indicates the date  
3 that the case was created, the medical condition  
4 type, which is pulmonary disease. And then it  
5 gives the ICD code, 496, which is COPD. And then  
6 it gives the - a descriptor, chronic airway  
7 obstruction.

8 And then at a later point, in Column K,  
9 it indicates that the determination was that, I  
10 think, the case was denied. And what I don't quite  
11 understand is, I thought if it was denied, they  
12 didn't identify the ICD code or the code  
13 descriptor.

14 CHAIR WELCH: Well, it's not  
15 systematic. But, you know, so sometimes people  
16 put in the code as they enter it in. Which is why  
17 when you look down there, you'll see a number of  
18 claims that don't have an ICD code.

19 MEMBER MARKOWITZ: Right.

20 CHAIR WELCH: So many of them do have  
21 it. Yes, I could get an answer from Doug of what  
22 those definitions were. And I think FDD is final

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 decision denial, and FDA is final decision accepted  
2 here.

3 MS. RHOADS: Hi. I'm sorry. This is  
4 Carrie. Can I interrupt for one second and just  
5 to make sure that Mr. Domina is now on the line?

6 MEMBER DOMINA: Yes, I'm here.

7 MS. RHOADS: Great. Thank you.

8 Okay. I'm sorry for interrupting. Go  
9 ahead.

10 CHAIR WELCH: That's okay, because I'm  
11 not going to really pull up the spreadsheet. But  
12 there's pretty much that, yes, the code is in there.  
13 Every one of them is categorized as medical  
14 condition type: pulmonary disease. So every claim  
15 is categorized with a medical condition type. But  
16 then, you know, of these, probably it looks like  
17 maybe 80 percent have an ICD code, but then the  
18 others don't.

19 MEMBER MARKOWITZ: Right, right, yes.  
20 I'm just assuming that they can clarify for us.  
21 Because the importance of it is that if we're  
22 interested in looking at the universe of denied

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 claims to see what's happened with them, we don't  
2 quite know how complete the universe is from  
3 looking at this table, but it may be more complete  
4 than we think. Either that, or if we're interested  
5 in looking at denied cases, it may, nonetheless,  
6 allow us to identify a large number of cases that  
7 were denied in which we know that the claimant was  
8 discussing -- you know, COPD was one of the issues  
9 that the claim was for.

10 So it might be, even though we can't  
11 identify the total universe, we can still use the  
12 data on this table to identify cases that we'd want  
13 to look at and learn from.

14 CHAIR WELCH: Yes. And that thought  
15 was good, yes.

16 MEMBER DEMENT: Related to this issues  
17 is the data that we received. Actually, I think  
18 Part B Committee requested it. We received a data  
19 file before the last conference call.

20 I summarized the medical conditions  
21 that were listed in there. And I'm curious  
22 because, in there, only COPD was classified under

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 496 or 492. Anyway, but the question is, how  
2 complete is that data set then? Yes, many of the  
3 filed claims have no ICD code or are not classified  
4 as COPD. Seems like that data set is also rather  
5 incomplete.

6 CHAIR WELCH: Well, actually when we  
7 were on this call with Doug Pennington talking  
8 about the data, I asked him that. I said our  
9 Beryllium Subcommittee has looked at most of the  
10 claims. And he said, oh, but we sent that out with  
11 the a disclaimer saying it wasn't a complete list  
12 of claims for the same reason.

13 But then on the latest spreadsheet that  
14 you got this week, I think he extended the logic  
15 to try to physically do the same as they did with  
16 this. They're trying to find all the claims by  
17 using text descriptors and the ICD codes. And it's  
18 the best they can do. It's probably pretty  
19 complete.

20 But if somebody -- you know, later on  
21 the spreadsheet, there are a couple of lines where  
22 it just says pulmonary disease. And it was denied,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 but there's not descriptor at all. So they're  
2 giving us -- we can get all the pulmonary diseases,  
3 and it turns out the majority of them are COPD. But  
4 then the denial ones, the ones that have no ICD code  
5 or text descriptor for the medical diagnosis are  
6 much more likely to have been denied.

7 But Steven, what you said is good. If  
8 we give up on the idea we know what the universe  
9 of claims are, you know, what proportion of them  
10 are COPD versus heart disease versus diabetes, we  
11 can definitely use these to get cases, to look at  
12 individual cases. So if we were interested in  
13 presumptions, and they have presumptions for cases  
14 for COPD, and we want to see how the presumptions  
15 we used can handle the claim, this is a good way  
16 to do that.

17 And then we could get -- John had  
18 created a data request for the Beryllium  
19 Subcommittee or the Part B Lung Disease  
20 Subcommittee. And they were able to respond to  
21 that, giving quite a bit of information in fields  
22 where they had individual claims. And so, you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 know, if they said it's denied, then, you know, back  
2 and forth, too. They can't give us everything, but  
3 what the final determination date was and stuff.

4 I think that we can learn a lot by  
5 looking at individual cases, but there's still many  
6 individual cases. And it doesn't seem like  
7 there's some way to characterize them any further  
8 than what we have here. Like, were they denied  
9 because employment wasn't verified? Or were they  
10 denied because they had a medical opinion that  
11 turned it down? And they're not collecting that  
12 information in a way that we could get it. We'd  
13 have to go through individual claims to find those.  
14 But we can still find claims that are listed here.

15 I think what I want to do is go back.  
16 Now that I understand the conditions, that they  
17 have these broad categories and conditions,  
18 pulmonary disease, other lung disease. That we  
19 could at least get a description of the number of  
20 those that are accepted and denied.

21 Because when I look at their annual  
22 report, it's not there. The most recent annual

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 report on the DOL website, it's from 2012, and it  
2 has reasons for denial of claims but not the  
3 spectrum of accepted claims. But I think that that  
4 would be the concern on the data. But the denial  
5 ones, probably 30 percent are lung disease, which  
6 is an aspect of COPD and other lung disease. But  
7 that's probably not representative of the claims  
8 they're covering; it's a different universe.

9 But I'm confident we can get that. I  
10 think that'd help us a little bit knowing where to  
11 focus there. Because the one reason I thought it's  
12 important to know the universe is that 30 percent  
13 of all of their claims are COPD. This one doesn't  
14 really have the COPD claims.

15 And we want to make sure that our  
16 committee is helping with the exposure assessment  
17 side of the current activities they're doing. And  
18 if it's a lot of them in SEM, then we'll be missing,  
19 we won't be able to help them as well as we could  
20 if we understand the kind of claims that are coming  
21 in.

22 Well, we can get, I think -- and maybe

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 even possibly before the October meeting, we'll get  
2 an idea. I mean, I haven't seen it. Maybe other  
3 people have seen it. Just something that says, you  
4 know, in the last ten years, we've had these many  
5 claims, and they were in these categories. And  
6 this proportion was accepted, and this proportion  
7 was denied by each category.

8 I've been wanting to look into that and  
9 looking on the website to them; it's not easy to  
10 find. So I will take on getting that, and then we  
11 can decide where to go.

12 MEMBER MARKOWITZ: Laurie, it's Steven  
13 Markowitz. Repeat what the thing you said you're  
14 going to try to obtain.

15 CHAIR WELCH: We had wanted claims data  
16 by ICD code. I think we can get it by medical  
17 condition type because each type of claim coming  
18 in is categorized into a medical condition. And  
19 the medical conditions are COPD, other lung  
20 disease, acidosis, heart disease, and then I think  
21 smaller.

22 The very top, big ones I just mentioned,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 they got almost 50 percent of the denials. And  
2 then what I was looking at didn't have accepted  
3 claims. Just to get a sense of just the big  
4 categories, what are the claims that they're  
5 handling? I think that would be useful, and it  
6 shouldn't be hard.

7 I can't really get my head around the  
8 idea that we can't either understand the universe  
9 of these claims. We can understand more about  
10 accepted claims, if that's helpful. We could  
11 probably get a lot more information on accepted  
12 claims.

13 MEMBER VLIEGER: This is Faye. I'm  
14 sorry to interrupt. But did Doug Pennington  
15 provide you a copy of the data dictionary for their  
16 codes and stuff that they use on these entries?

17 CHAIR WELCH: He didn't, but I asked  
18 him what they meant, what the codes meant. And he  
19 sent it as an email.

20 MEMBER VLIEGER: Okay. We actually  
21 have a copy of that. I believe Deb Jerison has it.  
22 I can get the link and send it to you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR WELCH: Okay. That'd be great.

2 MEMBER VLIEGER: All right.

3 CHAIR WELCH: So then are there other  
4 cases, are there individual cases or individual  
5 diagnoses that we'd like to know more about before  
6 our next meeting? And Steve, let me ask you, when  
7 we meet as the Board in October --

8 MEMBER MARKOWITZ: Right.

9 CHAIR WELCH: -- are we going to have  
10 any breakouts by subcommittee? Or are we going to  
11 be all -- you know, there'll be plenary with  
12 subcommittees reporting back and discussing our  
13 work?

14 MEMBER MARKOWITZ: I think we were  
15 going to meet as a whole. And we're going to be  
16 reporting back and then allowing other board  
17 members to discuss what each of the subcommittees  
18 is, you know, discussing.

19 I haven't thought through whether we  
20 logistically could even do subcommittee meetings,  
21 in part because of public access and other things.  
22 So, I mean, Carrie and I can discuss that offline.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 But I think, for the next meeting, we're not going  
2 to achieve it. Everything will be done as a full  
3 committee.

4 CHAIR WELCH: Well, and probably the  
5 amount of time we have, that would take, the full  
6 committee would take all that time. And so, that  
7 means we don't need to request data in advance of  
8 the October meeting.

9 And so, after the October meeting,  
10 we'll probably have a better idea of what -- because  
11 I know some of the other subcommittees also were  
12 requesting these overall statistics on claims.  
13 And then the two, the medical process committee and  
14 the claimant, and then that's derived for the  
15 committee. So it may have been that they have gotten  
16 a different view of how the data works and what we  
17 can get out of it. So I think other than me trying  
18 to get this broad view, I don't see a need for us  
19 to request additional data now. Unless you all  
20 think we should look at some of these COPD claims  
21 and see how some -- instead of going to the trouble  
22 to give us their case files.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1                   MEMBER DEMENT: Hey, Laurie. This is  
2 John. I still wonder, the issue that's missing for  
3 me right now is to what extent in these claims, COPD  
4 whatever. To what extent is the SEM, use of the  
5 SEM, in conjunction with the occupational history  
6 that we're collecting. To what extent are those  
7 claims being denied based on ways to instrument and  
8 whether or not those two instruments are providing  
9 the --

10                   CHAIR WELCH: Yes, that's a good point.

11                   MEMBER DEMENT: -- information to make  
12 an informed decision. So far, you know, just  
13 looking at a few claims, I don't have a sense of  
14 that. And to me, that's the objective of what  
15 we're aiming to get at.

16                   CHAIR WELCH: That's a good point. In  
17 the beryllium claims, the SEM is not really  
18 relevant, so we have --

19                   MEMBER DEMENT: No. It's not an  
20 element in the beryllium, but it is on Part E for  
21 most --

22                   CHAIR WELCH: Absolutely, absolutely.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER DEMENT: So my question goes at,  
2                   for our subcommittees, how do we dive into what  
3                   information we have to determine if the SEM is  
4                   entering into -- in a big way -- negative claims  
5                   decisions that might be contrary, for example, what  
6                   we might call a no exposure association for a  
7                   particular job and job category?

8                   CHAIR WELCH: Yes. That is a good  
9                   point.

10                  MEMBER DEMENT: And frankly, I don't  
11                  know how to get at that. The data that we have in  
12                  the spreadsheets is not going to get it. They  
13                  don't have in there -- and I'm talking back to, on  
14                  the phone, the other committee, the Part B  
15                  committee.

16                  We have a new data field that was  
17                  provided. The reasons for denial, and I'll just  
18                  read aloud, employee not covered, minimal payable  
19                  benefit met, medical condition not covered,  
20                  medical information insufficient, and then lastly  
21                  a negative causation result.

22                  So along that spectrum of reasons for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 denial, the only one, to me, that would possibly  
2 be a reason to take a look at it, to see if the SEM  
3 or the occupational history play a role, would be  
4 the negative causation result.

5 CHAIR WELCH: Yes. Well, it's great  
6 to be able to sort it down to that level.

7 MEMBER DEMENT: So, you know, for me,  
8 if we could have a subset of claims where a negative  
9 causation result for some of these conditions.  
10 Say, COPD was present, can we look at those in  
11 greater detail?

12 CHAIR WELCH: That's really helpful.  
13 I agree. Do you determine the claims that you had  
14 that information on, did you get a sense of what  
15 proportion of them were the negative causation  
16 result?

17 MEMBER DEMENT: Well, I can give you a  
18 quick sense of that in just a moment. The negative  
19 causation result, and I'll discuss one of the  
20 problems with the data is, for example, in Part E,  
21 a negative causation result, it looks like it's  
22 sort of a big issue, 46 percent, looks like, is a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 result, a negative causation result.

2 CHAIR WELCH: And those were the viewed  
3 claims that had a Part E?

4 MEMBER DEMENT: No, these are the  
5 overall, but it has to do with -- you know, and these  
6 are lung disease claims that we're looking at. I  
7 mean, it's beryllium sensitivity, CBD, silicosis,  
8 interstitial lung disease, COPD, asthma and  
9 sarcoidosis.

10 CHAIR WELCH: Well, you know, it makes  
11 sense to me that a high proportion with a negative  
12 causation. Because insufficient medical evidence,  
13 generally, the worker can circle back and get that,  
14 and the employee not being covered --

15 MEMBER DEMENT: There's no technical  
16 reason in the maximum benefit. That all goes back  
17 to the statutes of what it does and doesn't do.

18 CHAIR WELCH: Right.

19 MEMBER DEMENT: And I wonder, I don't  
20 know this category, employee not covered. I don't  
21 know exactly what that means, you know, from an  
22 interpretation point of view.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 (Simultaneous speaking.)

2 MEMBER VLIEGER: If I could interject  
3 a moment. Employee not covered usually means that  
4 they don't find adequate site presence for  
5 employment. So they can't actually place someone  
6 where they applied for the benefit from.

7 And then there is a group of claims that  
8 get sent to contract medical consultants after  
9 review by the IH and toxicologist that are denied.  
10 Sometimes they don't even get sent to the IH or the  
11 toxicologist. So I don't know how to even code  
12 those.

13 The toxicologist would say -- as they  
14 did at our meeting in April -- well, there's these  
15 three chemicals that I'm allowed to look at. And,  
16 of course, the answer is no because those chemicals  
17 are not exposed in a pure state.

18 So when the CMC would get the IH and tox  
19 report in, they never go against the IH or the tox.  
20 So I don't know if those are even coded. But many  
21 times they don't go to the IH or toxicologist  
22 because these workers were not monitored for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 chemical exposures.

2 But the site presence of the chemical  
3 exists on the SEM. So the worker is not given the  
4 benefit of the doubt of exposure because they don't  
5 have exposure records or that the chemical is on  
6 the SEM. I know it's a little convoluted, but I  
7 don't even know that they code those separately  
8 about what goes to a CMC and what the result is.

9 CHAIR WELCH: I think not for that.  
10 But I think if they decided that there wasn't  
11 sufficient exposure to cause the disease for which  
12 its claimed, or no exposure that the caused the  
13 disease, they call it a negative causation as well.

14 So I think any time where it's not they  
15 administer the thing, like, they've reached their  
16 maximum benefit, they didn't have covered  
17 employment. You know, the survivor can't  
18 demonstrate that it was abated. It's probably all  
19 going to end up in the, you know, causation not  
20 established.

21 MEMBER VLIEGER: Yes. And I think the  
22 Board was sent a copy of the Department of Energy

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 letter to the DIAB meeting, DIAB and ANWAG meeting,  
2 from March of this year. The Department of Energy  
3 said they don't have records for the employees of  
4 their chemical exposures. So the employee can't  
5 come up with something that doesn't exist.

6 CHAIR WELCH: Yes. It's kind of a  
7 smaller point. And it's an important point but  
8 it's more granular than what we're talking about  
9 now about trying to find ones there. It don't know  
10 if we could see that process. And I think we're  
11 going to have to -- if we can, you know, take the  
12 universe of denied claims and get it down to only  
13 half of them, the negative causation results.

14 I mean, I don't remember. John, were  
15 they able to tell you whether there was a CMC or  
16 industrial hygiene review in those cases?

17 MEMBER DEMENT: There is a data field.  
18 I didn't find it informative. So there's a field  
19 called last CMC that's an IH referral. And there  
20 is a -- you know, so we could pick some that had  
21 more of both. But it's not clear that we could do  
22 one or the other.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR WELCH: Right. And actually, if  
2 they're being denied without going to the CMC or  
3 IH, that would be useful to look at those claims,  
4 too.

5 The reason we got this spreadsheet that  
6 was for six months in 2014 was because the thought  
7 was we had gone through the adjudication process.  
8 So 2014 is probably the most recent year we can look  
9 at claims.

10 In the spreadsheet they sent us, there  
11 are about no more than 350 claims. So now if I go  
12 back and ask Doug to give us that information on,  
13 you know, the reason for denial and we can randomly  
14 pick 50 claims that had a negative causation  
15 result. Maybe 50 is too many.

16 MEMBER DEMENT: I actually been  
17 advised between subcommittee members to take a look  
18 at it, I guess. I mean, we haven't even saw that.

19 CHAIR WELCH: Yes. I think we could  
20 divide it up and review and then find ones that may  
21 or may not be very demonstrative.

22 MEMBER DEMENT: Right.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 CHAIR WELCH: And if the file were all  
2 in one PDF -- as Steven suggested -- it would be  
3 a lot easier to maybe just kind of, I mean, you would  
4 have to go to the final determination decision to  
5 see what the outlook was. And you can tell whether  
6 it was denied because of either the rationale in  
7 there is pretty clear. And then go to the back of  
8 the report, at the end of the report. So as long  
9 as we can find it, then it wouldn't terribly hard,  
10 but we can start with a few of them and start with  
11 25.

12 MEMBER VLIEGER: I just wanted to let  
13 you know that I did send a copy of the DOL data  
14 dictionary.

15 CHAIR WELCH: Okay.

16 MEMBER MARKOWITZ: Well, I have a  
17 question. It's Steven Markowitz. At some point,  
18 DOL starting applying presumptions to COPD. Isn't  
19 that right?

20 CHAIR WELCH: If it had a presumption.  
21 Whether they apply it and when they apply it, I  
22 don't really know, the presumption. MEMBER

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MARKOWITZ: So my full question is, if they did,  
2 if they change their policy at some point, we should  
3 just understand the timing. If we're --

4 CHAIR WELCH: Yes.

5 MEMBER MARKOWITZ: -- going to, you  
6 know, sink our teeth into 2014 claims. Just so we  
7 don't want to have looked at those and then  
8 discovered, oh, yes, they changed some policy in  
9 2015 relevant to their decision making. That's  
10 all.

11 CHAIR WELCH: Right. And I just  
12 actually had that -- I had that page. I don't know  
13 if I saved it, but -- my WebEx page just went, "Thank  
14 you for using WebEx." Oh, well. I'll have to find  
15 that some other time. But that's a good point.

16 I think the COPD one was in 2016 or late  
17 2015. So the cases we're looking at would be prior  
18 to the new presumption, but --

19 MEMBER VLIENER: This is Faye. And  
20 the bulletin you're talking about for presumption  
21 of COPD is 16-02, and it was issued December 28th  
22 of 2015. And it expires December of this year,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 meaning it may be incorporated in a new procedure  
2 manual.

3 MEMBER MARKOWITZ: This is Steven. So  
4 we just need to factor that into what we're looking  
5 at. That's all.

6 CHAIR WELCH: Yes, yes.

7 MEMBER MARKOWITZ: Probably not on  
8 this call, but --

9 CHAIR WELCH: Yes. No, no. But I  
10 think it would be something that I can explore with  
11 Carrie and Doug, if there's a way to -- if in 2016  
12 there are current claims. I mean, where there's  
13 only been a denial of COPD, we can look at those.  
14 Even if they're going to be remanded back again and  
15 then being reviewed again. But if it was because  
16 of the causation would be -- I mean, we would have  
17 to see what's happening with that presumption.  
18 Okay.

19 MEMBER MARKOWITZ: Right. And then  
20 according to the performance report - this is Steve  
21 Markowitz - the performance report that was sent  
22 to us, they appear to be making decisions on a fair

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 number of claims within what appears to be  
2 approximately five months. If I have the right  
3 one. I'm not quite sure.

4 My point being that it's possible that  
5 even if we begin to look at claims from January 2016  
6 and the few months after that, we may be able to  
7 soon gauge how that presumption is working. Maybe  
8 a little helpful, but anyway, just a thought.

9 CHAIR WELCH: No, I think that's a very  
10 good idea. And also, you know, if the process now  
11 is to be sending people to a meeting and have most  
12 the cases getting industrial hygiene reviews,  
13 looking at ones that are older than that also  
14 wouldn't really help us understand the current  
15 process.

16 I know that, you know, we just heard  
17 that the contract was put out which has been out  
18 for - but maybe for the past - for 2016, they've  
19 been getting industrial hygiene reviews. I think  
20 it does make sense to look at more current cases,  
21 even though they're not going to be representative  
22 of all the cases because some take longer. If we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 look at ones that were denied, we'll get a sense  
2 of that part. We'll start seeing what's there.  
3 And it's never been systematic, I don't think,  
4 unfortunately.

5 Okay. So then I will try to get a  
6 couple of different reports. And John, that's  
7 really helpful that you want to see that other data  
8 set to understand more of what we could get. I  
9 think that'll be good.

10 The other thing I sent you was what they  
11 called a straw-man. I don't know what else to call  
12 it. Some ideas about how we could -- you know, DOL  
13 wanted us to help. Then we come to the Institute  
14 of Medicine report.

15 And we got a memo from DOL, from OWCP,  
16 basically saying, well, you know, we looked at the  
17 report and see those really amazing  
18 recommendations and this is what we've done. And  
19 then I had it in mine that I added some other  
20 recommendations.

21 Because you could go through both  
22 documents, because that makes sense to go through

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 what I proposed. And then there are other things  
2 that they mentioned in their response memo that we  
3 could also check on, if that's in there.

4 And then, you know, we talked about this  
5 IOM report last time. It's clear that OWCP hasn't  
6 fully implemented because the recommendations are  
7 quite big. And, you know, so our Advisory Board  
8 doesn't want to take on necessarily everything  
9 we're thinking IOM recommended to do, because it's  
10 a very big project.

11 So my first suggestion was that instead  
12 of having some process to peer review literature,  
13 that we ask OWCP to use reliable sources, major  
14 sources like IARC, EPA and then Washington  
15 Toxicology Program, which would leave it out of  
16 only being relied on and then with Haz-Map. But  
17 it does, there's a certain line before something  
18 is reviewed at IARC and found to be an acceptable  
19 example. But I think it'd be an improvement --

20 MR. SALANDRO: This is the  
21 transcriber.

22 CHAIR WELCH: -- and if it's something

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that --

2 MR. SALANDRO: I'm having a hard time  
3 catching that last sentence.

4 CHAIR WELCH: Which one?

5 MR. SALANDRO: Are you on  
6 speakerphone?

7 CHAIR WELCH: I am, yes.

8 MR. SALANDRO: Is there a way you could  
9 switch to your handset? It's getting a little  
10 muffled.

11 CHAIR WELCH: I'll try. Hang on one  
12 second. I'll just hold the phone to my ear. Is  
13 that better?

14 MEMBER MARKOWITZ: Yes. That's much  
15 better.

16 MR. SALANDRO: That's much better.

17 CHAIR WELCH: Okay. Okay. Just  
18 makes it harder for me to take notes, but that's  
19 okay.

20 So I guess I was saying that I think,  
21 you know, it's a compromise to say that OWCP would  
22 use expert sources rather than doing peer review

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of ongoing literature. But I think it would an  
2 improvement over what they have. So what do you  
3 all think of that idea?

4 MEMBER MARKOWITZ: Steven Markowitz.  
5 I think it's an excellent idea. I think that  
6 enormous effort is put in by these other sources,  
7 the IARC, EPA and TC, etcetera. Multi-year  
8 efforts looking at individual agents, referral  
9 peer review. They're comprehensive and they come  
10 to conclusions. And I think Haz-Map probably  
11 takes advantage of a fair amount of that. But  
12 probably not, on a timely basis at least, according  
13 IOM.

14 So it's, you know, in a way, kind of a  
15 no-brainer to do that. And it's certainly the  
16 simplest approach. It's not simple because  
17 there's still a whole bunch of decisions that have  
18 to be made. But I think it's a really feasible  
19 starting point.

20 CHAIR WELCH: John, what do you think?

21 MEMBER DEMENT: I agree. I think  
22 these are low hanging fruit, what Steve says are.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 There's a tremendous amount of effort put into the  
2 peer review. These are accessible. It covers  
3 cancer in particular. But some of the EPA ATSDR  
4 cover other substances well. So I think those low  
5 hanging fruit will all be pulled in.

6 CHAIR WELCH: And Kirk and Faye?

7 MEMBER VLIEGER: This is Faye. This  
8 would be wonderful because it follows current  
9 science. And it takes away the issue with Haz-Map  
10 and the lack of peer review in the previous reports  
11 about its inability to move quickly enough with  
12 what's going on.

13 There is something that's kind of on the  
14 edges of this that the Department says when you use  
15 any of this data currently. And that is, well, we  
16 don't take web searches. Well, most of us don't  
17 have access to journals and be able to hand them  
18 the whole journals. And so, when you say to use  
19 this data, you know, you should make it clear that  
20 the easiest way to get that now is through online  
21 journals and not hard, you know, textbooks.

22 So I would just like to add that little

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 caveat that all of these I have used, or attempted  
2 to use for claimants in the past, that I get the  
3 place to comment, well, just because you say it says  
4 that doesn't mean we have to accept it. And  
5 besides, it's from the Web. So just a little side  
6 note.

7 CHAIR WELCH: Okay. Yes. Thank you.  
8 Well, good. And then Kirk, do you have any  
9 thoughts about it?

10 MEMBER DOMINA: No. I think anything  
11 that we can do to help the claimant, making it  
12 easier. Because, you know, when we get into the  
13 second questions, I still have issues with the SEM,  
14 being we have eight sites that have Special  
15 Exposure Cohorts that have no SEMs. And there's  
16 a total of 34 sites that have no SEMs. And so,  
17 that's an issue when you've got somebody trying to  
18 get a Part E claim, because they're just going to  
19 say no.

20 CHAIR WELCH: Yes. That's right, yes.  
21 And it's if you're having a SEM, it has to be almost  
22 like a Special Exposure Cohort where you don't use

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a SEM. You have to use other things. And that's  
2 --

3 MEMBER DOMINA: Right, and --

4 CHAIR WELCH: -- kind of a no-brainer,  
5 isn't it? Yes.

6 MEMBER DOMINA: Then, I mean, it's,  
7 like, come on. We got to do something.

8 CHAIR WELCH: Yes.

9 MEMBER DOMINA: Especially when  
10 there's that many sites that don't have them. Then  
11 that needs to be -- because to me, that almost --  
12 I wonder about what John brought up earlier about  
13 employees not covered. Is that some of it that's  
14 brought into it because there isn't a SEM on  
15 whatever given site?

16 CHAIR WELCH: That would probably be  
17 that, you know, they couldn't substantiate the  
18 exposure. And when employers -- employees worked  
19 there, but then they say, well, you say you were  
20 exposed, but we have no evidence to substantiate  
21 it.

22 MEMBER DOMINA: Right.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR WELCH: Yes. And so, using the  
2 absence of a SEM --

3 MEMBER DOMINA: That's right.

4 CHAIR WELCH: -- in some ways, yes.  
5 You know, I guess maybe we could ask for different  
6 claims, but I don't know how we'd find claims that  
7 look like that.

8 MEMBER MARKOWITZ: This is Steven. We  
9 should look at claims from a place that has no SEMs  
10 and see actually how they make decisions.

11 CHAIR WELCH: Yes. Good point.

12 MEMBER MARKOWITZ: I mean, you know,  
13 presumably they rely more on the Occupational  
14 History Questionnaire and, you know, the native  
15 intelligence of somebody or other. But we should  
16 just look at them and see what's happening.

17 MEMBER WHITLEY: Garry here. I think  
18 Steven knows that it would be very smart. But  
19 here's part of why you get that nothing claim.  
20 I'll give you a real quick example.

21 Monday, I met with a guy that was a  
22 physicist and he had bladder cancer, a young man,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 about 45 years old. Never smoked. Well, when you  
2 go into the SEM and look up physicist, there's no  
3 chemicals listed. And when you look up and go to  
4 the building he worked in, there's some chemicals.  
5 But he'd get the letter back from them that the SEM  
6 does not show that he ever worked with those.  
7 Well, his treating physician is telling him exactly  
8 what chemical he thinks because the physician had  
9 written a letter telling exactly what chemical he  
10 thinks he worked with out there.

11 If the SEM database does not say  
12 anything about a physicist working with, I'll use  
13 trichloroethylene or whatever. And even if you  
14 find it, that's what causes bladder cancer, you get  
15 a letter back from the CE that says the SEM database  
16 don't show that you worked with that.

17 CHAIR WELCH: But that's at a site  
18 where you know that their SEM database is not  
19 complete. Or it probably wouldn't have anything  
20 for those kind of occupations, definitely. I  
21 mean, it gets in --

22 MEMBER DEMENT: Another question that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I have and it's, okay, let's say that, you know,  
2 the person has died of cancer. And if you look at  
3 their occupation history, I'm hoping that, you  
4 know, some of these chemicals that are related to  
5 bladder cancer might actually be in there, if the  
6 history was collected in a consistent and detailed  
7 way.

8 Let's say, for example, and I don't know  
9 this case, but that the occupation history actually  
10 mentions work with a known bladder carcinogen.  
11 How does that factor in if the SEM is negative?

12 MEMBER VLIEGER: I can answer that  
13 question. This is Faye. If there's no exposure  
14 data from either an incident or an accident where  
15 they would've done air sampling, I have a number  
16 of experimental chemists and metallurgists who  
17 were turned down for their diseases because it  
18 wasn't in the SEM and there was no monitoring data.

19 MEMBER MARKOWITZ: So this is Steven.  
20 You know, we have to figure this out. Because, you  
21 know, what I think it's been presented to us that  
22 the claims examiners looking at all possible

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 sources for exposure information and doesn't have  
2 a set priority order in mind.

3 And yet we repeatedly hear that the SEM  
4 rules and over other sources like the Occupational  
5 History Questionnaire and the like. So we just  
6 need to figure out what's actually going on here.  
7 Because, clearly, they're different views on this.

8 MEMBER DEMENT: The other thing that --  
9 and I've reviewed a fair amount of these case files  
10 that we've been sent. Most of them in Part B  
11 Committee. And the occupational history that's in  
12 the file is so variable in terms of quality and  
13 completeness.

14 It gets to a point where you wonder  
15 there should be a lot more attention given to trying  
16 to make that more complete by more assistance to  
17 the claimant. Because way through their history  
18 and actually get specific information like  
19 chemicals in the past they may have done. As  
20 opposed to a general thing, okay, you're a laborer  
21 and you're at Oak Ridge. I'm going to the SEM and  
22 it doesn't list a bladder carcinogen, then you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 weren't exposed.

2 MEMBER VLIEGER: Right. This is Faye  
3 again. This goes back to the incomplete or rather  
4 most of the Occupational History Questionnaires  
5 that they do for the program. The Building Trades  
6 Medical Screening Program actually has built a  
7 database of exposure materials for the workers by  
8 labor category and it's quite extensive.

9 But yet when we provide that to the  
10 Department of Labor because it's not in the SEM -  
11 and it's not on the OHQ because of the way the OHQ,  
12 the Occupational History Questionnaire, is  
13 written- it's normally not accepted as fact.

14 So there is another source for some of  
15 this we could look at in the Building Trades data  
16 that they've assembled. In the past, when the  
17 advocates have asked for a copy of that, they're  
18 calling it proprietary. But they might let us have  
19 it. I don't know.

20 CHAIR WELCH: That's proprietary? You  
21 mean Department of Labor is saying that the  
22 Building Trades --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1                   MEMBER VLIEGER: The Building Trades  
2 is saying, well, that's our database and we're not  
3 going to share it. But, you know --

4                   CHAIR WELCH: Well, that's me and John.  
5 (Laughter.)

6                   MEMBER VLIEGER: When I've asked the  
7 regional people for access to it, you know, to help  
8 the claimant, that's the answer I've gotten. So,  
9 you know, if you guys can change that, because  
10 that's very --

11                  CHAIR WELCH: Well, the database?  
12 It's not really. Well, let's do something. The  
13 Occupational History Questionnaire is my next  
14 agenda item. And there's two things: there's  
15 that and that 1995 memo. And we'll see what we can  
16 get to.

17                   But if we could go through the rest of  
18 my proposal and the IOM, then we can then move onto  
19 the Occupational History Questionnaires. Is that  
20 okay?

21                  MEMBER VLIEGER: Yes. Sounds great.

22                  CHAIR WELCH: Okay. So if we did --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER MARKOWITZ: Laurie, this is  
2 Steven. I'm sorry to interrupt. I just want to  
3 --

4                   CHAIR WELCH: That's okay.

5                   MEMBER MARKOWITZ: -- take the next  
6 step on this idea of encouraging the Department or  
7 the program to use these other expert data.

8                   CHAIR WELCH: Yes.

9                   MEMBER MARKOWITZ: I think the DOL  
10 report recommended this, yes, when the report  
11 recommended this, the DOL's response is, you know,  
12 they don't have the resources at the moment. I'm  
13 sure they don't, you know, but they're interested.  
14 So the question is, not on this call, but do we need  
15 to provide a more specific proposal on how to make  
16 this happen in order to move the process along?

17                   CHAIR WELCH: I think so. I mean, I  
18 was thinking that we need some new committee of some  
19 sort that would develop criteria of how to use these  
20 websites. I mean, IARC it's pretty  
21 straightforward. But EPA, you know, it has an  
22 exposure level of concern and it's not really set

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to be used for a compensation system. They  
2 identify toxicity of chemicals. But how to make  
3 it work for OWCP, I don't really know. Same with  
4 the National Toxicology Program.

5 So I think that it would take a  
6 committee of some kind to develop the criteria and  
7 then some kind of ongoing, you know, annual peer  
8 review of what's come out from those different  
9 sources. But if we proposed that they have another  
10 committee, I think we hear they don't have the  
11 resources. So I don't know where to quite go with  
12 that.

13 It's not as big a committee as during  
14 the ongoing peer review of the entire literature,  
15 which is the way I seem to do it and, you know, DOL  
16 said that we just can't do that. This would be  
17 something much more circumscribed.

18 I think it would be good to have a  
19 proposal. You know, and as far as saying we need  
20 a committee to develop a process for using those  
21 extra resources.

22 MEMBER MARKOWITZ: Well, you know,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 maybe that's something we can just give more  
2 thought about before the October meeting and then  
3 try to --

4 CHAIR WELCH: Okay.

5 MEMBER MARKOWITZ: -- fix out there and  
6 develop a --

7 CHAIR WELCH: Okay.

8 MEMBER MARKOWITZ: -- real plan.

9 CHAIR WELCH: Yes.

10 MEMBER MARKOWITZ: And we may get some  
11 feedback from DOL as to what, you know, further  
12 specifics we can provide on that, you know, would  
13 help them. You know, say, for instance, we could  
14 get more funding, etcetera. You know, what would  
15 be helpful?

16 CHAIR WELCH: Okay.

17 MEMBER MARKOWITZ: You know, or can we  
18 pilot this from our Board? Can we pilot this  
19 effort to demonstrate what it can do, as a way of,  
20 you know, convincing the parties that be that it  
21 can be done and should be done? That kind of  
22 question.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           CHAIR WELCH:    You know, I think we  
2           could do that.    You know, pick one or two of these  
3           sources and develop a protocol.   That couldn't too  
4           hard.

5                        But I think the important thing is that  
6           it be done in a transparent and in a way with a lot  
7           of different kind of input.   As opposed to just  
8           getting one person whose hired for DOL to develop  
9           a system.   So it being under the auspices of our  
10          committee would keep it in that category of, you  
11          know, technically the access and a lot of input from  
12          different sources.

13                       MEMBER MARKOWITZ:   This is Steve.   I  
14          would add, though, that the sources we're talking  
15          about, so far, like, the World Health Organization,  
16          like, the National Toxicology Program, all their  
17          reviews are done transparently with public input.  
18          So that, at least the decisions they come to, it  
19          had gone through, generally speaking, a very good  
20          process.

21                        That's not against transparency by us.  
22          I'm just saying that, at least, as opposed to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 systematic review published in some journal by a  
2 set of authors who, you know, have done their own  
3 work. But it hasn't been subject either to a  
4 scrupulous peer review or public transparency.

5 CHAIR WELCH: Yes. I agree with you.  
6 And I think the next step is saying if we think  
7 something causes, you know, toxicity, how do you  
8 get from that determination into something that DOL  
9 can use?

10 And I don't understand the EPA  
11 determinations well enough. I don't know how to  
12 make a recommendation about that. But it's a  
13 one-time thing, you know. It could be that if EPA  
14 says it covers this toxicity, then that is added  
15 to this causation and that's how it gets done and  
16 that's sufficient. And, you know, it would be  
17 easiest if some subset of the Board did this work  
18 and they brought it back to the Board. That would  
19 be the easiest process in understanding this --

20 MEMBER VLIENER: I wanted to share  
21 sources up for the SEM. Previously, I had asked  
22 that the TRI reports that the DOE sites have to do

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to EPA, the chemicals they're storing. And that's  
2 part of the community disclosure program they have  
3 for the toxins that are near the cities and centers  
4 of the population.

5 And I asked the Department of Labor to  
6 use that for the SEM when I contacted the SEM  
7 contractor directly. They said that they wouldn't  
8 be able to use it. So we may have to look at what  
9 the Department of Energy allows in their  
10 negotiations the DOL to actually be on the SEM.

11 CHAIR WELCH: It's just, you know, if  
12 it's an exposure that the workers have.

13 MEMBER VLIEGER: Right. Well, they  
14 were chemicals listed on the TRI report that are  
15 held in storage and they're used. And then they  
16 have certain quantities on site. They have to  
17 report to the state through the EPA every year.  
18 Those chemicals don't necessarily match what's on  
19 the SEM. So I had requested that the TRI report  
20 be used in the SEM source, and --

21 CHAIR WELCH: Yes. But I guess they  
22 would be -- you know, we'd have to identify where

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 they came from, if they're waste from the plant.  
2 And, you know, somebody needs to go back and  
3 understand the process to develop them. Unless  
4 you only want to add them for people who are doing  
5 their storage work, which is another option.

6 MEMBER VLIEGER: Yes, yes. It's just  
7 that, you know, we need to look at what DOE allows,  
8 too. Because they're in the process on the SEM  
9 inclusion.

10 CHAIR WELCH: Yes. Okay. I guess the  
11 other thing I put in this, my little proposal, on  
12 IOM was IOM said that SEM doesn't adequately  
13 address mixtures or synergistic processes. And  
14 that if we were to establish a committee that's  
15 going to help inform the SEM on adding other data  
16 sources, I guess that's whether these resources are  
17 going to be sufficient to look at mixtures.

18 I think that they would be. I mean,  
19 definitely IARC looks at mixtures. That whether  
20 EPA and ATSDR do, I'm not sure. Mixtures such as  
21 logging, I guess, which are ones that we deal with  
22 all the time.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1                   And I actually didn't have anything to  
2 think about synergy, but I feel like that could be,  
3 you know, down the road. Because there's so much  
4 missing now for some basic exposures, that synergy  
5 doesn't seem quite as essential, in my humble  
6 opinion.

7                   And one other recommendation I had in  
8 there, that I think I'll swing back to it when we  
9 talk about the Occupational History Questionnaire.  
10 And this issue of --

11                   MEMBER MARKOWITZ:       The industrial  
12 hygiene interview?

13                   CHAIR WELCH:    Yes.

14                   MEMBER MARKOWITZ:    Okay.

15                   CHAIR WELCH:    I mean, that relates to  
16 what we were talking about before, about how the  
17 claim is developed and this Occupational History  
18 and the SEM and who uses what and who gets work  
19 information. Okay. So Steven, maybe I'll just  
20 brainstorm with you a little bit, on another call,  
21 how we can flush out my idea. I'm glad you all  
22 liked it.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And let me just take a quick look at  
2           their response. I was curious, and we can ask for  
3           this. In their response to the IOM, when they said  
4           actions taken in response to IOM recommendations,  
5           one of them was that it added links to work  
6           processes.

7           So they've added a link to a process  
8           that it causes a certain disease. And I have no  
9           idea how they did that. I mean, where is that  
10          coming from? So they're adding causation  
11          information to the SEM. Maybe it's coming out of  
12          Haz-Map. I don't know. But I was curious because  
13          it's important that they add processes and  
14          mixtures, but I'm not sure where they get their data  
15          from. Think I should, you know, to ask them to  
16          explain that?

17                   MEMBER DEMENT: Sure.

18                   CHAIR WELCH: Okay. Okay. So then  
19                   let's switch over to talk about either the  
20                   Occupational History Questionnaire, I guess, or  
21                   its process of how it's used.

22                   You guys have all looked at the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Occupational History Questionnaire, correct? I  
2 think, if you want to bring it up, it's actually  
3 on our meeting page under the --

4 Carrie, I've been dropped off the WebEx  
5 and I can't choose to log back in because it says  
6 I'm logged in.

7 MS. RHOADS: I have the Occupational  
8 History Questionnaire up on the WebEx.

9 CHAIR WELCH: Okay. But I have it up  
10 on my computer anyway, so --

11 MS. RHOADS: Yes. It's up.

12 CHAIR WELCH: Or I will in a second.

13 MS. RHOADS: A copy of that was  
14 distributed at the DC meeting as well.

15 CHAIR WELCH: Yes. So, you know, it's  
16 not terrible, but, John, it doesn't do what we were  
17 saying it should do. I mean, it asks about  
18 specific metals and dust. You know, it's got a few  
19 substances that are there on the last couple of  
20 pages.

21 It asks people about their work  
22 processes, but doesn't ask for any detail about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 really what they did in that work process. And  
2 then it asks about specific exposures to finish  
3 that list.

4 In addition to which, it's my  
5 understanding that its staff in the outreach  
6 offices that fill out the questionnaire, and they  
7 don't have any specific training or expertise. So  
8 that the Occupational History Questionnaire, it's  
9 a beginning, but it's not enough. It's not enough.

10 You know, someone who knows about  
11 exposure assessment, and knows about the work they  
12 did, would have to do it to get more information.  
13 Which is why I suggested that they change the  
14 process and have the industrial hygienist call the  
15 claimant.

16 You know, I know we're going to hear  
17 that we can't possibly do that. It's way too much  
18 work. But to turn people down because they didn't  
19 collect the information that would support the  
20 claim just doesn't seem right.

21 And I'm not sure I see any other -- you  
22 know, so these two pages of work categories. What

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 class they were in or, you know, what job title they  
2 were in and then there's these work areas. You can  
3 ask them about work activities, but --

4 MEMBER DEMENT: You know, Laura, some  
5 of the questionnaires that I've reviewed, they do  
6 get into some of the claimant's work activity. So  
7 there's a piece on it, I think, a little further.

8 CHAIR WELCH: Yes.

9 MEMBER DEMENT: They do talk a little  
10 bit about, you know, how they work with some of  
11 these materials. But, in general, that I find that  
12 these are relatively incomplete.

13 CHAIR WELCH: Yes.

14 MEMBER DEMENT: And the industrial  
15 hygienist reviewing a case file, it'd almost be  
16 required that I go back and talk to this person to  
17 get more information. For example, if they listed  
18 a chemical that had no information about how they  
19 came in contact with it. I mean, was it --

20 CHAIR WELCH: Right.

21 MEMBER DEMENT: -- just because they  
22 were in the building or did they actually do

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 something with it? Or is somebody allowing them  
2 to do something with it and they were secondarily  
3 exposed? I mean, these are important issues. But  
4 I didn't know how many IH reviews they do for some  
5 cases that are fairly relatively small.

6 CHAIR WELCH: And also so they're  
7 saying they're sending all the cases to an IH. So  
8 they say at the last meeting.

9 MEMBER DEMENT: All cases?

10 CHAIR WELCH: Yes. I mean, that's  
11 what Rachel said. You can clarify that, though.  
12 That's for this big contract, so that they can --

13 MEMBER MARKOWITZ: Yes. This is  
14 Steven actually. On the response to the IOM  
15 report, they use some data about this. I don't  
16 know when exactly it was written but it says, I'm  
17 quoting, "To date, the OIC has submitted over 400  
18 employee referrals for BGI," that's the  
19 contractor, "Exposure assessment with the  
20 possibility of 110 incompletions," end of quote.  
21 So I think since signing on this contractor in the  
22 summer of 2016, they've been informed, and I don't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 know if that's all of them or have a number exactly,  
2 but it's a lot.

3 CHAIR WELCH: Yes.

4 MEMBER MARKOWITZ: This is Steven.  
5 You know, the thing is, is that we know the SEM  
6 really -- we know it by design and by just the  
7 feasibility, it doesn't have a nature of exposure  
8 duration intensity. And so, the IH can't get that  
9 from the SEM.

10 The Occupational History Questionnaire  
11 is very limited on that issue. And so, if they  
12 really want to get at causation, then they can't  
13 rely on the --

14 I think what the IH has been doing,  
15 without speaking directly with individuals, is  
16 they've been relying on their general knowledge of  
17 industrial hygiene. And what can be expected to  
18 happen in an industrial facility, in a construction  
19 site, etcetera, general knowledge. And the  
20 opportunity to get actually specific knowledge  
21 from the individual should be exploited.

22 CHAIR WELCH: And if some things, you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 can't -- you know, with the physicist with bladder  
2 cancer, you're not going to be able to generalize  
3 your knowledge. You need to know his specific  
4 exposures. And as a panel, as an Advisory Board,  
5 we're telling them that the information they have,  
6 we know for sure it's not sufficient, to just kind  
7 of go through it and review to some groups of their  
8 claims.

9 I mean, if somebody is, you know, a  
10 laborer who worked at any one of these sites in 1968  
11 to 1978, yes, sure, asbestos-related disease.  
12 That's not a problem. An industrial hygienist  
13 could assume that that occurred. But otherwise,  
14 you're looking at some very specific exposures that  
15 could be causing it. So I think we all agree.

16 MEMBER DEMENT: And one of the cases  
17 that was sent to us, it happened to be a laundry  
18 worker. And this was a case that claimed CBD. And  
19 one of the things that was denied based on the lack  
20 of specific exposure information. But, you know,  
21 we all know industrial clothes, there are laundry  
22 workers historically the likelihood they had been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 exposed. But this is a case where I think the  
2 hygienist should've gone back and talked with the  
3 individual.

4 MEMBER WHITLEY: Garry here.

5 MEMBER MARKOWITZ: There -- oh, I'm  
6 sorry. Garry?

7 MEMBER WHITLEY: Part of that problem  
8 is, you know, if we're going to work to help fix  
9 the program, we got a big list of chemicals. We  
10 do your first physical, we give them to people and  
11 ask them to do the best they can, if they think the  
12 chemicals they think they might've worked with.

13 Over 90 percent of them can't tell us  
14 any because they say, you know, I've been retired,  
15 you know, 15 years. I have no idea what I worked  
16 with. So I think that's part of the problem. The  
17 people don't have a clue what they worked with.

18 CHAIR WELCH: And sometimes, you know,  
19 if you're going from the disease backwards. It's  
20 like, you know, if you had somebody with bladder  
21 cancer, you don't need to know everything they  
22 worked with. You need to know, did they work with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 these specific things? And so, if you go back and  
2 ask them that, and if you understand the process  
3 in which it was used, they may be able to say, oh,  
4 yes, I did use that.

5 So it takes a very knowledgeable person  
6 to do that and which is why, according to what's  
7 going in, is good. But afterwards, many times you  
8 have to go back.

9 I was thinking, Steven, do you remember  
10 that Brian Schwartz used to do detailed reports for  
11 a lot of his or some of his individuals. And I  
12 think he called people up. You know, and even  
13 though he had a questionnaire and a physical and  
14 everything to put together their case. I don't  
15 know if wouldn't help to get that from him at all,  
16 but I'm sure he would tell us. Go ahead, sorry.

17 MEMBER MARKOWITZ: Yes, yes. It's  
18 Steven. Going with what Garry has to say. So the  
19 interview shouldn't be used against a claimant. I  
20 mean, there is the risk that if they don't remember  
21 a whole lot. And the IH thinks, well, I've gone  
22 straight to the source and I can't confirm

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 exposure.

2 But the reality is, is that people  
3 didn't know when they were working, what they were  
4 working with, much less 20 or 30 years later. So  
5 we need to couch our recommendation and sort of  
6 express some of the limitations of the approach.

7 The other thing is that, you know,  
8 whether this interview should be -- the claimant  
9 should be open to or allowed to have a second party  
10 with them when they're doing this interview, to  
11 help sometimes explain the questions or what have  
12 you.

13 But I'd like to hear from people on the  
14 phone about whether this is a good idea. People  
15 from the facilities and from the advocate community  
16 whether this is a good idea.

17 MEMBER VLIEGER: This is Faye. I help  
18 claimants fill out the Occupational History  
19 Questionnaire. And it is so limited in what you  
20 can provide with it. And the questions don't help  
21 the claimant at all.

22 So if there was someone actually

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 looking at the work processes that the claimant  
2 might have been affiliated with, that would be  
3 great. But to make it -- so something has always  
4 been a problem, even with the work processes has  
5 been added. Because many of the workers could be  
6 associated with the work process but they wouldn't  
7 necessarily be the primary user of the work process  
8 and they're exposed as well.

9 So the exclusions that Department of  
10 Labor assigns to things now really needs to be  
11 broadened. And if the Occupational History  
12 Questionnaire was changed in such a way that it  
13 actually was relevant to each worker, that would,  
14 you know, help things quite a bit.

15 I know it's more of a work burden, but  
16 it needs to be done. You know, they could actually  
17 assign someone to each resource center to do this  
18 instead of, you know, making it an end product thing  
19 by the time the IH sees it.

20 CHAIR WELCH: Well, I think you  
21 probably need both. I think we probably need to  
22 improve the completeness and the accuracy. But

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 most of all, the completeness of the occupational  
2 history coming in. But a lot of times, they're  
3 very detailed questions the IH should be asking.

4 But, like, to know -- because you have  
5 an hour long narrative from somebody about what  
6 they did and it wouldn't even capture any of them.  
7 The people didn't know what they're working with,  
8 but, you know, John Dement would know. Because  
9 we've looked at some of the site reports that if  
10 a person did this kind of work, they had that kind  
11 of exposure. So that industrial hygienist can  
12 know things that the worker didn't know, if they're  
13 using all of the resources.

14 But I think, you know, we should try to  
15 improve it coming in. But I don't think that's  
16 going to be enough. I don't think the occupational  
17 questionnaire can ever be sufficient to say, you  
18 know, if that doesn't have some information  
19 assembled and the fact that it's absent doesn't  
20 mean that the case is not related to the exposures  
21 that maybe the worker doesn't remember it and SEM  
22 doesn't have it.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So the only way to figure that out is  
2 to go back again to the worker. In some cases  
3 there, you can't figure it out at all. But at least  
4 everyone has done their due diligence, whatever you  
5 want to call it.

6           MEMBER WHITLEY: Garry here. If a  
7 claimant comes and hasn't filed a claim yet and has  
8 a specific cancer that should be covered, before  
9 he files a claim, I give him things from SEM  
10 database. I'll print him off the chemicals that  
11 the SEM says cause that disease, the labor category  
12 that he worked at, and then the chemicals in the  
13 building that he worked with.

14           You better be sure that the SEM says  
15 they're out there worked at a certain building, or  
16 they'll come back and say we don't show that a  
17 pipefitter worked in that building. Well, we all  
18 know that a pipefitter works every building until  
19 they've got water.

20           But anyway, if they take all that with  
21 them, they won. It seems like they do pretty good  
22 with all these chemicals they worked out there.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 But the Department is not going to allow or don't  
2 have the person filling out this stuff to even look  
3 on the database to help them with that.

4 MEMBER DOMINA: Hey, this is Kirk.  
5 And, you know, I agree with Garry because it's in  
6 the details in a lot of this. Just like earlier  
7 when you were talking about a laundry worker. When  
8 I was at a reactor at 100-N, you had the laundry  
9 workers. It went to a different facility, but the  
10 reactor operators on our side of the building  
11 handled the laundry. And there could be the  
12 laborers when construction was in there during  
13 maintenance outages.

14 And then the same thing with a lot of  
15 the different chemicals and certain things. If  
16 you were using them in an ARA or something, you were  
17 wearing a particulate cartridge. But if it's only  
18 made for rad, it wasn't for chemicals.

19 And so, unless you have somebody that  
20 has knowledge on facilities and the different  
21 things that went on, because it's in the details.  
22 And that's where I think a lot of it gets lost. And

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I understand it's a huge undertaking, but we owe  
2 it to these people. Do a better job for them.

3 Because they are getting a lot of help.  
4 And, you know, especially, like, you know, where  
5 I'm at. I mean, when you look at the list of  
6 facilities when a bunch of them are torn down, I  
7 mean, I've been in a lot of places. There's no  
8 record of it because I went over there and worked  
9 for a day or two or whatever. And, you know, I  
10 don't remember the name of all these buildings or  
11 the bunkers or whatever.

12 And the people that do this maybe now  
13 for Department of Labor, you got to go back in time  
14 and see how things were done at that point in a time.  
15 And we were in a Cold War and certain things  
16 happened, like, when during a reactor operations.  
17 It's like, you get it done, you know.

18 I mean, and there's no record of you did  
19 some certain event during some certain time because  
20 you had an emergency and you happened to be on gray  
21 guard and it's on a weekend. They don't call  
22 nobody. You get it done.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1           And it's all in the details. And for  
2 people that have never worked here and think that  
3 they can know exactly what we're exposed to and it's  
4 frustrating from the claimant community, for the  
5 workers. Because we know this stuff existed  
6 because we lived it. And then for somebody else  
7 who lives 3,000 miles away to tell us that it  
8 doesn't, that's an issue.

9           CHAIR WELCH: Yes. I think that DOL  
10 probably has a -- I mean, they're trying to manage  
11 it by they want some other validation, other than  
12 the worker's description of what he or she did, if  
13 it's not in the SEM. But maybe we can establish  
14 something else, like, a coworker. In the same way  
15 you can do employment where, in the beginning, they  
16 rely on people to verify employment through  
17 affidavits if the data wasn't there.

18           MEMBER DOMINA: There is no IH data for  
19 a lot of this stuff at that point of time. It's  
20 usually against you. Just, like, in the 100 areas,  
21 it wasn't until, like, '99 or 2000, they said we  
22 had alpha contamination but they never looked for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it until then. And so, it's the same thing.  
2 They'll go after stuff but they don't want to know.

3 CHAIR WELCH: Well, I think that one is  
4 definitely true, yes, but -- no, go ahead Steven.

5 MEMBER MARKOWITZ: Well, so we can  
6 recommend the resource center hire X former workers  
7 to be trained up and administer the Occupational  
8 History Questionnaire.

9 CHAIR WELCH: Yes. I think that's a  
10 very good recommendation since --

11 MEMBER DOMINA: This is just another  
12 problem after what Steven said with that. I  
13 understand that in the general sense. But with  
14 that being said, it may also be somebody who has  
15 that particular skill set just so you do get the  
16 particulars better.

17 Just how, you know, when I've had DOL  
18 tell me that Hanford doesn't have boilermaker  
19 welders when it's a job classification for us.  
20 And, you know, there's pipefitter welders and  
21 millwright welders and electrician welders.

22 And, you know, so there's these other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 different things that come into play. Where a  
2 particular craft knows their skill set better than  
3 somebody else. Where it may be you had a general  
4 big person, but then on the interview process. But  
5 somebody also who has that skill set for that  
6 particular craft.

7 I mean, it's just like with our janitors  
8 at 100-N, they were in the radiation buffer areas.  
9 Because if there's tile back there, you know,  
10 there's concrete in between, the tile belongs to  
11 the janitors. So they're back in the work areas,  
12 in the change room, because there happens to be tile  
13 on the floor. You know, the same thing  
14 intermingling, co-mingling with all the other  
15 craft workers and everybody.

16 And so, if you don't understand that,  
17 you know, and have them move our lunchrooms because  
18 the background radiation is too high, that it's a  
19 lunchroom for how many years. Or there's so much  
20 asbestos in our main lunchroom, you know. But  
21 there's no record of that.

22 MEMBER WHITLEY: I think what you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 suggested would be a big help here. It would be  
2 a big help because right now the people at the  
3 resource center do it. That person, they've never  
4 been inside of the plant, never worked at the plant,  
5 have really no idea.

6 They're really doing the best that they  
7 can. They're asking the question, but the people  
8 are asking, seeing the claimant doesn't have an  
9 idea of what he's trying to do. He don't know.

10 And so, something like that. I don't  
11 know how or where you find those people. But I do  
12 think anything like that would be a big plus.  
13 Because if you get this thing off the wrong foot,  
14 they won. Like Kirk said a while ago, it's all in  
15 the details. If he gets off on the wrong foot, you  
16 can almost kiss it.

17 CHAIR WELCH: I mean, for the Building  
18 Trades, John developed a questionnaire that goes  
19 through -- it's a little bit -- in some ways it's  
20 easier. Because it's much more likely that  
21 approximately each one of these facilities does  
22 similar work, you know, an operator.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And I think that, in terms of the  
2           questionnaire, we also require certain area  
3           process of trying to understand at each site, are  
4           there things that you can assume about certain job  
5           title building combinations or something?  
6           Because you can't assume exposures based on job  
7           title from any of the production workers.

8           So in addition to having former workers  
9           hired and trained to answer the questionnaire,  
10          probably needs to be some continuous improvement  
11          there to always be better at understanding the data  
12          collecting. And so, that when people for next year  
13          asking questionnaires of workers at that same site,  
14          they know to add questions about something that's  
15          come up.

16          And I don't know how the OWCP could  
17          handle that at the resource centers. I guess just  
18          you just need a separate entity. And, you know,  
19          to have a quality assurance committee or some  
20          process that continues to update the Occupational  
21          History Questionnaire.

22                   MEMBER WHITLEY:   And also we've had

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 claims examiners tell people that coworker  
2 affidavits really don't carry much weight anymore.

3 CHAIR WELCH: Right.

4 MEMBER MARKOWITZ: This is Steven. So  
5 improving the OHQ should be on the April Board  
6 agenda from this Subcommittee.

7 CHAIR WELCH: Yes.

8 MEMBER MARKOWITZ: Okay. Okay. We  
9 are developing a plan to do that.

10 CHAIR WELCH: But I think we also, at  
11 the same time, want to make it clear that how good  
12 the OHQ is, as good as we can make it, there still  
13 needs to be the opportunity for the industrial  
14 hygienist to call the worker.

15 If there's some information that he or  
16 she thinks they need that's missing. And you've  
17 got a work-related disease but they can't identify  
18 that exposure. Well, they should talk to those  
19 people. And maybe it's not and maybe it didn't  
20 happen. And I --

21 MEMBER MARKOWITZ: Those are entirely  
22 compatible recommendations.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR WELCH: Absolutely.

2 MEMBER DEMENT: This is John Dement.  
3 To what extent do the resource centers currently  
4 employ workers that were former workers from the  
5 sites at all?

6 MEMBER WHITLEY: Garry here. I don't  
7 think ours have any.

8 MEMBER DEMENT: So construction  
9 workers, we found that particularly a couple in  
10 trying to help us focus on things that are  
11 important. Some of those issues that you just  
12 talked about, some of the exposures that you never  
13 get in just a job classification, we could come up  
14 to and to review that as well as some focus groups  
15 that we have as we started the program. We've held  
16 them periodically along the way as well. And there  
17 are just some exposures in job classifications in  
18 construction but they could never find.

19 MEMBER MARKOWITZ: This is Steven.  
20 Our former worker program employs all the former  
21 workers. So the resource centers really don't  
22 have the opportunity to hire any of them.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 (Laughter.)

2 MEMBER DEMENT: I wonder who we have  
3 there.

4 CHAIR WELCH: There's a lot of them.  
5 There's a lot. There's a lot of them here.

6 MEMBER MARKOWITZ: Right, right.

7 CHAIR WELCH: You could get them.  
8 They have a lot, but you could give them 30,000  
9 people where there's a bunch of them out there  
10 still.

11 MEMBER MARKOWITZ: Two hundred  
12 thousand.

13 CHAIR WELCH: Yes, exactly. Okay. I  
14 think what I'll do for this particular topic is I'll  
15 try to add some specifics, and send it to you all  
16 to look at before we have an opportunity to present  
17 it to the full board. You know, kind of outline  
18 what we've talked about and so we can all agree on  
19 what we'll be presenting as a proposal. Good.  
20 Thanks for this.

21 Just I wanted to tell you all that after  
22 our last big board meeting, John Vance got in touch

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 with Trish Quinn, who's the administrator of the  
2 Building Trades Program to say, well, we hear you  
3 have a really good Occupational History  
4 Questionnaire. Can we use yours to improve ours?

5 And that was sort of funny because, you  
6 know, we didn't recommend that, you know. It's  
7 like why don't you wait until the Board comes back  
8 with some recommendations about a process to do  
9 that? It's a great idea, though. So I think  
10 they're interested. They want to do it.

11 MEMBER MARKOWITZ: Yes. And you've  
12 been keeping it secret all these years.

13 CHAIR WELCH: Yes. No, not exactly.  
14 Okay. So those are my recommendations and we've  
15 talked about the OHQ.

16 So the last thing, because we still have  
17 28 minutes that we can talk about, unless everybody  
18 is exhausted. This, what we call the 1995  
19 circular. Which when you read the circular, it  
20 says that after 1995, exposures on the sites were  
21 all controlled.

22 And so, one would have to demonstrate

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 exposure, being that is a big presumption that  
2 exposure has occurred. But the explanation that  
3 we got back from DOL in the email that Carrie  
4 forwarded to us, they had seemed much less rigid  
5 than the answer they replied.

6 Should we walk through the whole 1995  
7 decision process, or does everybody still feel on  
8 top of that?

9 MEMBER MARKOWITZ: I think if you  
10 walked through just briefly, it would probably  
11 help.

12 CHAIR WELCH: Okay. So one of the  
13 documents that we got is this memo from January  
14 20th, 2015 to all staff in the policy branch.  
15 Basically saying they've looked at the available  
16 information and that, you know, DEEOIC's  
17 information to provide sources to make finding of  
18 exposure such as site exposure matrices, other  
19 sources.

20 And then they kind of walk through what  
21 the history of occupational health and safety is  
22 on the sites. And they say that in 1995, DOE issued

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Order 440 Part 1, which established a standardized  
2 occupational health and safety protocol for all  
3 federal and contractor employees.

4 Which included a written work of  
5 production program and guidelines to enhance work  
6 safety process including "more or less monitoring  
7 of potential workplace chemicals, physical,  
8 biological, ergonomic hazards, guidelines and ways  
9 to stop work."

10 And so, DOL has picked that date, when  
11 DOE issued this order in 1995, to say that there's  
12 a finding of the program that DOE implemented the  
13 significant and rigorous employee occupational  
14 safety and health code and the publication of that  
15 order. And since they published the order, DOL  
16 finds that, after 1995, any exposure to a toxic  
17 substance by an employee working at that kind of  
18 facility occurred within existing regulatory  
19 standards or guidelines.

20 Because DOE implemented so you have to  
21 have it off the safety program, DOL is assuming  
22 that, as of that date, all exposures were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 controlled to regulatory standards. And so then,  
2 based on that, it's just kind of a line in the sand  
3 about if we can prevent exposures before that, but  
4 you shouldn't have exposures after that.

5 And in the email, which I think, Carrie,  
6 did that come from Rachel, the one that you sent  
7 out?

8 MS. RHOADS: Yes.

9 CHAIR WELCH: It didn't say we were  
10 using it to make the determinations. We're using  
11 it just to decide who would go to industrial  
12 hygiene. And it doesn't make any sense to me  
13 because the circular says you can contain  
14 exposures.

15 Let's see. I'm trying to find that  
16 email.

17 MEMBER DEMENT: Laura, if you actually  
18 look at the last two paragraphs of the circular,  
19 it gets them out, a little outage in terms of  
20 meaning the exposures, in terms of causation.

21 CHAIR WELCH: The --

22 MEMBER DEMENT: The last two paragraphs

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of the memo?

2 CHAIR WELCH: That memo, I can't --

3 MEMBER DEMENT: It's on the last page  
4 of the memo.

5 CHAIR WELCH: Where it says -- can you  
6 tell me what you're looking at?

7 MEMBER DEMENT: Yes. If there's  
8 compelling, probative evidence that documents  
9 exposures at any level above this threshold or  
10 measurable exposures in an unprotective  
11 environment is kind of the division. But the last  
12 paragraph says any findings of exposure, including  
13 infrequent, incidental exposure, require review of  
14 a physician to opine on the possibility of  
15 causation.

16 But, you know, I think it's something  
17 that's in your station. And it doesn't seem  
18 inappropriate to say that after 1995, things  
19 improved. It does seem inappropriate for me to  
20 entirely eliminate the possibility that a worker  
21 can provide evidence, supporting statements about  
22 their exposures that an industrial hygienist would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 likely opine to be above some established  
2 threshold.

3 The other issue for me is that even  
4 exposures above some established threshold, on  
5 some of these does not exclude the possibility of  
6 causation.

7 CHAIR WELCH: That should, too.  
8 Absolutely.

9 MEMBER MARKOWITZ: This is Steven.  
10 John, I just want to make sure I understand your  
11 point. The logic of this policy is that exposures  
12 below regulatory thresholds wouldn't be harmful.  
13 And are you're saying the opposite, which is that  
14 --

15 MEMBER DEMENT: I think that's the  
16 intent here in some ways. But I think the memo does  
17 leave some out with regard to some interpretation  
18 that would say exposures were likely below some  
19 occupational exposure limits, okay? But we know  
20 the exposures of below established occupational  
21 exposure limits are not without risk. And it's  
22 entirely appropriate in those cases to have some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 informed review of the case. And I think that does  
2 it in a sort of roundabout way.

3 CHAIR WELCH: I guess it'd be hard for  
4 us to know how this was being used to adjudicate  
5 claims.

6 MEMBER DEMENT: Yes. I think it's  
7 been used as yes, no. And I don't think it should  
8 be.

9 MEMBER DOMINA: Hey, this Kirk. You  
10 know, back in that time frame, you know, they still  
11 were monitoring for stuff. I mean, no matter how  
12 you look at it.

13 And the other thing is it was going on  
14 at that time. We're in the middle of the contract  
15 with our current employer. And so, when you're  
16 going to come in and say that they're going to just  
17 blanket across the board implement this new safety  
18 program, the employer is going to ask for a request  
19 for equitable adjustment. And if DOE does not  
20 provide money for that, they're going to push back.

21 Because I remember that time frame. We  
22 didn't hire anybody for a couple of years because

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we didn't have a lot of money, you know. And you  
2 still went about your work. But, you know, they  
3 still did not measure for anything except for rad.  
4 That's what was supposedly supposed to be the big  
5 hazard.

6 And so, it still comes down that there  
7 is still no documents for any type of IH monitoring  
8 because they just didn't do it. And so --

9 MEMBER DEMENT: I think the other --

10 MEMBER DOMINA: -- the lines they drew.

11 MEMBER DEMENT: -- issue with regard to  
12 even improving conditions, it doesn't happen  
13 overnight either.

14 MEMBER DOMINA: No.

15 MEMBER DEMENT: As you say, you know,  
16 how are people putting programs in place,  
17 implementation, also takes a good job to get in  
18 place. Even --

19 MEMBER DOMINA: Years.

20 MEMBER DEMENT: -- if it's successful  
21 in the end. So it wouldn't be a magic date at all.

22 MEMBER DOMINA: No.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 CHAIR WELCH: Yes. I don't see how  
2 this approach adds anything to their adjudication  
3 because unless you need an interval, like a line  
4 in the sand. Because they picked their certain --  
5 they're saying there's a change at this date which  
6 we know is not true, that that date was a magic date.  
7 And that exposures after that date would've been  
8 maintained within existing regulatory standards  
9 which is unlikely.

10 But then they did say, well, you know,  
11 if there's -- the problem is the line that says if  
12 there's compelling, probative evidence that  
13 documents exposures at any level above the  
14 threshold or measurable exposures in an  
15 unprotective environment. But that is interpreted  
16 as being some kind of industrial hygiene  
17 monitoring, not book report.

18 So I think if you add all the nuances  
19 that we're saying need to be here, maybe you should  
20 pull this out. Because it's a judgment of whether  
21 exposures, at some point in time, were low enough.  
22 You know, people may have had some exposure to this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 certain compound, but it was well controlled and  
2 always done with respirators. But that's a  
3 judgment based on each individual case. I don't  
4 think you make this assumption.

5 You want to try to figure out how this  
6 has been used? I don't know how to do that, but  
7 we could try.

8 MEMBER MARKOWITZ: Laura, this is  
9 Steven. You know what? I think my hunch is what  
10 they were trying to do is since the SEM doesn't  
11 include frequency, intensity, or duration of  
12 exposure, they were trying to assimilate the idea  
13 that exposure conditions in many places were  
14 probably getting better over time. And the SEM  
15 doesn't recognize that because it doesn't address  
16 the extent of exposure.

17 So they were kind of, and I'm guessing  
18 here, trying to come up with something, albeit,  
19 this is a blunt instrument, a blunt way to do it.  
20 But come up with something that acknowledges that  
21 exposure conditions have probably improved. Even  
22 if as Kirk says, you know, monitoring wasn't done

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 all that frequently.

2 So we can try to look at how they use  
3 this. And I agree, the headline is of this policy  
4 which is what I'm sure the claims examiner  
5 understands, the difference of the fine print which  
6 is totally qualifying.

7 But I think the underlying problem is  
8 that, is there some way of accommodating the idea  
9 that conditions in many places probably did improve  
10 over time? Not a given date, you know, not January  
11 1st, 1995, but in general. And how did the claims  
12 have you processed accommodate that that happened?  
13 But, you know, that's my hunch. I don't know if  
14 that's, in fact, true.

15 CHAIR WELCH: In a way, it's a similar  
16 question. You know, if a worker reported that they  
17 worked in a particular building and then the SEM  
18 has a toxic substance in that building. That would  
19 substantiate our workers, the fact that they were  
20 exposed. Even though you or I may say, we're not  
21 going to know what it's doing. And I could make  
22 a better assessment.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So it's a similar situation. Well, you  
2 know, things changed over time and also, you know,  
3 you have to assess by their exposure. So it's only  
4 one way of trying to provide some nuance to the SEM.

5           But, you know, I think that the medical  
6 profession or the medical -- in terms of medical  
7 consultants, or one of the CMCs contract medical  
8 consultants, they often say that they didn't have  
9 enough exposure to cause this disease, you know,  
10 based on what he did.

11           MEMBER DEMENT: Now, that it's put  
12 forth in the memo that was sent to you after. The  
13 rationale for eliminating IH review is backwards,  
14 that if you tell me that you were a pipefitter  
15 pre-1995 at one of these facilities and you have  
16 a related lung disease, it's pretty clear, right?

17           CHAIR WELCH: Right.

18           MEMBER DEMENT: If you tell me you were  
19 a pipefitter when you first started after 1995.  
20 Let's say you have a condition. When in this  
21 presumption of low exposure may or may not be true.  
22 Which means I need to go back to the hygienist to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 ask questions.

2 Okay, tell me what you did as a  
3 pipefitter. Tell me where you worked for, where  
4 you worked with and what kind of protection you  
5 used. And so it becomes more important down at the  
6 low exposure side, to me, to have the IH review.

7 CHAIR WELCH: That's a good point, yes,  
8 yes.

9 MEMBER MARKOWITZ: Yes. This is  
10 Steven. You know, I think if the OHQ and the IH  
11 interview work properly, you could do away with  
12 this memo.

13 MEMBER DEMENT: I think so, too.

14 MEMBER MARKOWITZ: So, you know, if we  
15 can get moving there, then because if I'm an  
16 occupational medicine doctor interviewing a  
17 patient and trying to decide whether there's  
18 causation. What I'm going to do is a good OHQ and  
19 whatever I can do by way of an industrial hygiene,  
20 you know, interview and make that decision. And  
21 we're just trying to replicate that in the claims  
22 process. So, yes, if you improve the OHQ and the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 IH, there wouldn't be a need for this all or none  
2 kind of memo.

3 CHAIR WELCH: That, you said well.  
4 And my brain was trying to work out that. That's  
5 good.

6 MEMBER DOMINA: Yes, it is.

7 MEMBER DEMENT: Yes. Some of these  
8 industrial hygiene reviews, you know, I don't know  
9 well enough if they're getting referred out or not.  
10 But some of them just may not need to be done.

11 I mean, you can have some presumptions  
12 of some of these exposure disease relationships  
13 that you can be pretty definite or true pre-1995,  
14 you know. And so, you could concentrate on some  
15 of those a little more and do a far more in-depth  
16 investigation. And put your resources where the  
17 questions are as opposed to, you know, not  
18 acknowledging a known occupation disease  
19 association.

20 CHAIR WELCH: Yes. So you could take  
21 this whole memo and turn it around. And so, before  
22 1995, where we didn't require comprehensive health

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and safety programs. So, therefore, it's likely  
2 you could presume exposures were not well  
3 controlled.

4 MEMBER DEMENT: Yes. If you were  
5 exposed to asbestos after 1995 and you can say that  
6 you were exposed to asbestos. Then you have a 1/0,  
7 1/1 assessment consistent with asbestosis, job  
8 done. You don't need a review.

9 CHAIR WELCH: Right, before '95, yes.  
10 I mean, I think it makes, in a way, asbestos isn't  
11 such a good example. Because you can really know  
12 in the history of the weapons context, when they  
13 stopped using certain tasks and operations, then  
14 you could totally tell time then for it. But some  
15 of the other compounds, you don't know. And still  
16 so much -- you know, there's so much secrecy.

17 MEMBER DEMENT: That's certainly one  
18 of the cases and I find it sort of strange. There  
19 was actually a case of silicosis in which the  
20 B-reader said it was a 1/1. And yet the medical  
21 record said because it didn't say silicosis, it  
22 wasn't supported which is contrary what even

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 they're own guy had said.

2 CHAIR WELCH: Yes, right. Well, you  
3 know, I bet a lot of these. It's like I feel where  
4 do you find these people? In a garbage can? That  
5 is just so grumpy because --

6 MEMBER DEMENT: Most of it, they have  
7 mixed presentation anyway. So, you know, it's  
8 1/1.

9 CHAIR WELCH: Right.

10 MEMBER DEMENT: It should say  
11 silicosis.

12 CHAIR WELCH: Yes.

13 MEMBER DEMENT: And a document  
14 exposure of a minor.

15 CHAIR WELCH: Right. I mean, and to  
16 say what it has to be, you know, rounded up below  
17 capacities has been demonstrated not to be true.  
18 Because that's, like, if it's that, it's easy. But  
19 if it's not, it still has a very high likelihood  
20 of being silicosis.

21 But sometimes there's more  
22 sophisticated knowledge than what the consultant

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 physicians have. I mean, I see a lot of things that  
2 don't make sense coming back. Which is why some  
3 quality review would've been interesting. But I  
4 think what we've learned is that the DOL hands that  
5 all over to the contractor. They don't really hire  
6 workers. They don't have doctors or training, but  
7 that's a different committee where we have that in  
8 our meeting next month.

9 MEMBER MARKOWITZ: So this is Steven.  
10 I just want to add one point. Maybe not everybody  
11 is aware. The readings on regulatory standards  
12 haven't been changed in decades. I should know but  
13 I don't quite know whether DOE follows OSHA  
14 standards for, you know, the ones that don't make  
15 the headlines like beryllium and silica and the  
16 like.

17 But virtually all of the OSHA standards  
18 date from the 70s, except the handful that have been  
19 specifically updated since that time. So the idea  
20 that regulatory standards are entirely protective  
21 is not true.

22 CHAIR WELCH: That's the negative

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 things that were used in these facilities. There  
2 is no regulatory standard.

3 MEMBER MARKOWITZ: Right.

4 CHAIR WELCH: None. Because they're  
5 using very specialized compounds and mixtures and  
6 things like that. For which, you know, the workers  
7 didn't know what it was. I don't even know -- well,  
8 they didn't have industrial hygienists before  
9 these started coming in and saying, you know what?  
10 You have more than radiation in these claims.

11 I should figure out from you guys, if  
12 you're interested. I have some, the report on  
13 Portsmouth. It's probably in the -- was it in the  
14 files that you reviewed, John, when we did the site  
15 assessments?

16 MEMBER DEMENT: It is, yes.

17 CHAIR WELCH: It's kind of amazing what  
18 a mess that was, in terms of health and safety, in  
19 terms of exposures, you know. Because they had  
20 physicists but not industrial hygienists, health  
21 physicists. And, I mean, it was just -- it's for  
22 someone who hasn't worked there. People who have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 worked there, obviously, know what it's like. But  
2 if you haven't worked there, it's amazing.

3 So if I can, I have her file upstairs  
4 because I was involved in some big cases at  
5 Portsmouth. I'll see if I can find them and I'll  
6 bring them along and circulate them around.

7 That's what makes me think you can't  
8 say, oh, well, in '92, it was a disaster but in '95  
9 was fine. But, you know, the standards are old.  
10 They're not protective. The exposures probably  
11 continued after '95 and they're not standards.

12 So your report was the best one, Steven,  
13 that if we change the process, they can drop the  
14 circular altogether. Because the process would  
15 allow more nuance to every case. A better  
16 assessment for every case.

17 MEMBER DEMENT: You know, and this  
18 process will never be perfect. But I think, you  
19 know, having more informed decisions by the IH  
20 going to the contract medical consultant, it's  
21 going to have a better outcome.

22 CHAIR WELCH: Yes, yes. Well, guys we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 are finished with our agenda and my agenda.  
2 Steven, what's your vision for how our subcommittee  
3 is going to report back to the big committee next  
4 month?

5 MEMBER MARKOWITZ: You know, I can  
6 figure that out. I can think we're going to have  
7 to prioritize certain topics by each subcommittee  
8 because each subcommittee is dealing a bunch of  
9 important topics. I'll figure out where the  
10 overlap is so we can, you know, coordinate the  
11 discussion there. But I don't know. I have to  
12 figure that out. We have to talk about it.

13 CHAIR WELCH: I mean, well, so I'll  
14 write a summary of our call and that'll help. You  
15 may not have a ton of points to cover, but I'm sure  
16 many of them which were in our discussion.

17 MEMBER MARKOWITZ: Right. Well, I  
18 mean, our priorities should be either  
19 recommendations that the Subcommittee is coming up  
20 with. Also, important issues for which the full  
21 board, you want to get additional opinions, you  
22 know, immediate recommendations.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR WELCH: Okay. All right.  
2 Well, I will, by the end of next week, probably  
3 because I'm going to be away, to get you a summary  
4 of what we've talked about and the recommendations  
5 I think we're wanting to make back to the full  
6 committee.

7 MEMBER MARKOWITZ: And it's Steven. I  
8 have notes I'm going to scan and send you in a couple  
9 of minutes.

10 CHAIR WELCH: Fantastic because I was  
11 taking notes, too. But yours will definitely  
12 help. Great. Okay. Thank you all very much and  
13 see you in Oak Ridge.

14 MEMBER WHITLEY: This is Garry. Do we  
15 have any of the agenda yet on the times of the  
16 meetings? I'm having people ask what are the times  
17 of our meetings for the next at Oak Ridge.

18 MEMBER MARKOWITZ: Yes. We have the  
19 time, the general times, and I'm not sure --

20 MS. RHOADS: This is Carrie. The  
21 federal registered notice will be published  
22 tomorrow. And the meeting times in there are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 listed as 3 to 5 o'clock on Monday. And it's  
2 all-day meeting on Tuesday with a public comment  
3 session at the end. And then Wednesday until 2  
4 o'clock with the last hour being public comments.

5 MEMBER WHITLEY: Are you there, would  
6 you say, probably 8:30 then?

7 MS. RHOADS: Yes.

8 MEMBER WHITLEY: Okay, and thanks.

9 MEMBER MARKOWITZ: But Garry, you got  
10 to get on the tour with us on Monday morning, you  
11 know.

12 MEMBER WHITLEY: Yes. Someone has got  
13 to tell you the truth.

14 (Laughter.)

15 MEMBER DOMINA: Amen, brother.

16 CHAIR WELCH: It's going to be great.

17 And then --

18 MEMBER WHITLEY: It will be good.

19 CHAIR WELCH: Good.

20 MEMBER MARKOWITZ: Okay. Thank you.

21 CHAIR WELCH: See you all then.

22 Bye-bye.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 (Whereupon, the above-entitled matter  
2 went off the record at 2:57 p.m.)  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15