

UNITED STATES DEPARTMENT OF LABOR

+ + + + +

ADVISORY BOARD ON TOXIC SUBSTANCES AND WORKER
HEALTH

+ + + + +

MEETING

+ + + + +

FRIDAY,
NOVEMBER 17, 2017

+ + + + +

The Advisory Board met at The Lodge at Santa Fe, 750 N. St Francis Dr. Santa Fe, New Mexico, at 8:00 a.m. Mountain Time, Steven Markowitz, Chair, presiding.

MEMBERSSCIENTIFIC COMMUNITY:

JOHN M. DEMENT
MARK GRIFFON
KENNETH Z. SILVER
GEORGE FRIEDMAN-JIMENEZ
LESLIE I. BODEN

MEDICAL COMMUNITY:

STEVEN MARKOWITZ, Chair
LAURA S. WELCH
ROSEMARY K SOKAS
CARRIE A. REDLICH
VICTORIA A. CASSANO

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

CLAIMANT COMMUNITY:

DURONDA M. POPE
KIRK D. DOMINA
GARRY M. WHITLEY
JAMES H. TURNER
FAYE VLIEGER

DESIGNATED FEDERAL OFFICIAL:

DOUG FITZGERALD

AGENDA

Welcome and Introductions by Douglas Fitzgerald and Steven Markowitz	4
Review of Recommendations	11
Review of Recommendations to SEM Database	16
Review of the Occupational Health Questionnaire	52
Part B Lung Disease Report	89
Presumptions Working Group by Steven Markowitz	106
Changes in Procedure Manual by Steven Markowitz	109
Review Public Comments by Board	112
Additional Board Topics by Board	150
Close of Meeting by Douglas Fitzgerald and Steven Markowitz	154
Adjourn	154

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 8:04 a.m.

3 MR. FITZGERALD: Good morning,
4 everybody. I'm Doug Fitzgerald, the Designated
5 Federal Official for the Advisory Board on
6 Toxic Substances and Worker Health.

7 I'd like reconvene the Board Meeting
8 for its second day. And, I'll turn it over to
9 Dr. Markowitz.

10 Thank you.

11 CHAIR MARKOWITZ: Good morning.
12 We're going to do just quick introductions for
13 the benefit of the public, if there are any
14 here or people on the phone.

15 I'm Steven Markowitz, City
16 University of New York, Occupational Medicine
17 Physician in epidemiology.

18 MEMBER SILVER: Ken Silver,
19 Associate Professor, Environmental Health at
20 East Tennessee State University.

21 Yesterday, you heard a statement
22 from the daughter of Ben Ortiz, a gentleman I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 worked with very closely and when I lived here
2 in New Mexico.

3 You've been hearing a lot about
4 presumptions, a big fancy word. When Ben was
5 making his case like a voice in the wilderness
6 about the lab having made him sick, he'd
7 punctuate every statement, que no? Don't you
8 agree?

9 And, that's a presumption. It
10 doesn't he deserve the benefit of the doubt
11 with these climates?

12 MEMBER POPE: Duronda Pope, United
13 Steel Workers, also a former worker of Rocky
14 Flats.

15 MEMBER REDLICH: I'm Dr. Carrie
16 Redlich. I'm a Professor of Medicine at Yale
17 and Director of the Yale Occupational
18 Environmental Medicine Program. Also, a
19 pulmonary and occupational medicine physician.

20 MEMBER CASSANO: Tori Cassano. I'm
21 a Retired Navy Occupational Medicine Physician,
22 Radiation Health Officer. And, now, I have my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 own private consulting business.

2 I worked for many years at the VA
3 dealing with Veterans issues that are very
4 similar to the issues you're dealing with now.

5 MEMBER DEMENT: I'm John Dement,
6 Duke University Medical Center, area of
7 interest and expertise is industrial hygiene,
8 exposure assessment and epidemiology.

9 And, I've worked with the BMed
10 program for construction workers for the last
11 20 years.

12 MEMBER GRIFFON: Hi, I'm Mark
13 Griffon. I'm an occupation safety health
14 consultant.

15 MEMBER DOMINA: I'm Kirk Domina from
16 the Hanford Atomic Metal Trades Council in
17 Richland, Washington. HAMTAC represents about
18 2,600 active workers through 14 affiliated
19 unions.

20 I'm a current worker and have been
21 out there going on 35 years.

22 MEMBER TURNER: I'm James Turner. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 worked at Rocky Flats Nuclear Weapons Plant for
2 26 years. I was diagnosed with Chronic
3 Beryllium Disease in 1990.

4 MEMBER SOKAS: Rosemary Sokas, I'm a
5 Professor of Human Science and of Family
6 Medicine at Georgetown. And, I'm an
7 occupational physician.

8 MEMBER BODEN: Hi, I'm Les Boden.
9 I'm a Professor of the Environmental Health
10 Department at Boston University School of
11 Public Health. And, have been involved at the
12 Nevada Test Site for some time and the
13 predecessor for this Board.

14 MEMBER VLIIEGER: Good morning, Faye
15 Vlieger, former work package planner at
16 Hanford, injured in a chemical exposure in
17 2002. I currently advocate for injured workers
18 under this program.

19 MEMBER WELCH: Laura Welch. I'm an
20 Occupational Physician. I'm currently the
21 Medical Director for the Center for
22 Construction Research and Training which is a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 research institute devoted to improving health
2 and safety for construction workers and the
3 Medical Director for the Building Trades
4 Medical Screening Program.

5 MEMBER WHITLEY: I'm Garry Whitley.
6 I worked at Oak Ridge National Nuclear Complex
7 for 42 years, was President of the Metal Trades
8 Council there. I represent about 2,300 people.

9 I retired in 2011. I'm now working
10 with Worker Health Protection Program in Oak
11 Ridge and we have about 14,000 retirees.

12 MEMBER FRIEDMAN-JIMENEZ: I'm George
13 Friedman-Jimenez. I'm an occupational
14 physician, Medical Director of the Bellevue NYU
15 Occupation Environmental Medicine Clinic. And,
16 I'm also Assistant Professor of Epidemiology
17 and Medicine in the Department of Population
18 Health NYU School of Medicine.

19 CHAIR MARKOWITZ: So, who needs to
20 leave before 11:00 a.m. this morning? I think
21 Dr. Boden is what, 10:30 or so? 9:15?

22 MEMBER BODEN: About 10:00.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR MARKOWITZ: 10:00?

2 So, we're going to review the agenda
3 for this morning.

4 We're going to discuss the final
5 recommendation we did not discuss yesterday
6 regarding the occupation health questionnaire.

7 We're also going to hear the
8 committee report or a discussion on the site
9 exposure matrix in particular around the
10 recommendation we had made previously regarding
11 the use of the IOM recommendations.

12 We're going to have short reports
13 from the Part B Subcommittee and from the
14 Presumptions Working Group.

15 And then, we're going to deal with
16 several miscellaneous topics first on changes
17 in the procedure manuals where we, as the
18 Board, can get a better understanding of what's
19 happening -- with what's happened and is
20 happening with the procedure manual and how we
21 can stay up with those kinds of changes.

22 I think if we have the time, I'd

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 like to spend a few minutes reviewing some of
2 the public comments from yesterday.

3 And, also addressing how the Board
4 can better integrate public comments, written
5 and oral comments, into our deliberations.

6 And then, finally, if we have time,
7 we can discuss our ideas and recommendations on
8 how the Board can function better in the
9 future.

10 MEMBER SOKAS: I just have a
11 question about whether we could also include an
12 update on the solvent hearing loss
13 recommendation that went forward, because that
14 wasn't included yesterday.

15 CHAIR MARKOWITZ: Right, okay.

16 So, that, when Ms. Leiton comes, we
17 can hear from her.

18 But, those are from June 2017.
19 Those are still within DOL. They haven't
20 returned to us responses yet. I expect we will
21 have those responses before the next Board
22 Meeting which is going to be by telephone

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sometime in January. And, we'll happy to
2 discuss it then.

3 I don't think we're going to have
4 time to --

5 MEMBER SOKAS: That's fine.

6 CHAIR MARKOWITZ: -- be able to
7 discuss it as a subcommittee report before that
8 meeting.

9 One thing I forgot to do yesterday,
10 which, Kevin, would you bring up the first set
11 of recommendations from yesterday? I forgot to
12 get writing assignments for these. Who wants
13 to draft the -- our comments on DOL's
14 responses?

15 And, I think we could do this pretty
16 quickly.

17 If we go back to the first set of
18 recommendations that we submitted,
19 Recommendation 2, if you just go over to the
20 next page, which had to do with the use of the
21 IOM report and recommendations.

22 We're going to discuss that and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that's probably the kind of thing Dr. Welch
2 might want to take on because you're dealing
3 with that.

4 The third recommendation is about
5 hiring former workers to administer the
6 occupational health questionnaire.

7 That requires, I think, just a brief
8 comment from us on their response. If someone
9 wants to take that on, that's fine. Otherwise,
10 I'd like to take care of that.

11 A fourth recommendation is at the
12 bottom of the board, a process whereby the
13 industrial hygienist may interview the
14 claimants directly.

15 They basically -- my -- our
16 interpretation of the response is that they
17 agreed to do that. So, I don't really think it
18 requires any comment from us unless someone
19 disagrees.

20 Recommendation Number 5, DOL isn't
21 interested in publishing its policy
22 teleconference notes. We, obviously, disagree,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 but does anyone feel that it's any need to
2 comment on their response?

3 Dr. Sokas?

4 MEMBER SOKAS: I don't think we need
5 to comment. I think we can handle it as a
6 procedure for asking for updates for the Board
7 in the future.

8 CHAIR MARKOWITZ: Recommendation
9 Number 6, which has to do with making claim
10 files available electronically to the
11 claimants. DOL agrees with that.

12 Recommendation Number 8, this has to
13 do with our notion of making the file available
14 to the CMCs industrial hygienists and Dr.
15 Cassano jumps right in there.

16 And then, Recommendation 7, which
17 has to do with restructuring occupational
18 medicine within DOL.

19 Dr. Sokas?

20 MEMBER SOKAS: Yes, I'll write with
21 that.

22 CHAIR MARKOWITZ: So, you know, in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 writing these up, we're going to probably need,
2 Kevin, the transcripts or the minutes from
3 this.

4 So, what's the timing of the
5 minutes? I have to sign them, but what's the
6 timing of production of the minutes and the
7 transcript?

8 PARTICIPANT: Transcript, 30 days;
9 minutes by 90 days.

10 CHAIR MARKOWITZ: Okay, okay.

11 So, yes, we'll have to speed up the
12 minutes.

13 So, let's go to the second set of
14 recommendations.

15 The first one is on asbestos related
16 disease which I will prepare a response and we
17 can get more input.

18 The next is work related asthma.
19 And, Dr. Redlich is on, I give to her.

20 The next is COPD and, Dr. Welch, do
21 you want to take that on?

22 MEMBER WELCH: Sure.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR MARKOWITZ: We're going to
2 discuss the revisions to the occupation health
3 questionnaire recommendations, we haven't
4 covered that yet.

5 The Recommendation Number 5 is
6 enhancing the scientific and technical
7 capacity. I'm not sure that there's much to
8 respond to or for us to comment on, actually.

9 So, I've not -- I'll put my name
10 down with a question mark about that.

11 Recommendation Number 6,
12 interpretation of BeLPT. What did we decide?
13 Did we decide that we had something to --

14 MEMBER WELCH: I'll do it.

15 CHAIR MARKOWITZ: Okay.

16 MEMBER REDLICH: So, I'll do it with
17 Dr. Cassano.

18 MEMBER WELCH: Well, I have a -- you
19 and I work good.

20 MEMBER REDLICH: Okay.

21 CHAIR MARKOWITZ: Dr. Redlich and
22 Dr. Welch.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And, then the quality assessment of
2 contract medical consultants, Recommendation
3 Number 7. I do think this deserves a comment.

4 MEMBER SOKAS: Yes. So, I'll do it
5 with Dr. Cassano.

6 CHAIR MARKOWITZ: Okay.

7 MEMBER CASSANO: I have a question.
8 Are we going to vote on the combining of the
9 two individual -- for the two subcommittees or
10 not?

11 CHAIR MARKOWITZ: At this point, I
12 don't know if it requires a vote.

13 MEMBER CASSANO: Okay. So, do we
14 consider ourselves combined now?

15 CHAIR MARKOWITZ: Sure.

16 (LAUGHTER)

17 MEMBER CASSANO: All right, then.

18 CHAIR MARKOWITZ: We're in the
19 waning months of this Advisory Board. So, I
20 don't -- and, again, our work agenda is laid
21 out for us for the next couple of months.

22 Okay, so let's -- Dr. Welch can do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that. Dr. Welch, I don't if you want to do the
2 SEM recommendation first or.

3 MEMBER WELCH: I can do that first.

4 CHAIR MARKOWITZ: Or the OHQ. Why
5 don't you assign special interests?

6 MEMBER WELCH: Did you try that?

7 Okay, this is Laura Welch and I'm
8 going to, as we discussed yesterday, we made
9 recommendations to the Department about how to
10 incorporate some of the recommendations in the
11 IOM report by reviewing the 11 databases that
12 IOM had in the table and incorporating the
13 health effects of that into the SEM.

14 And, the response from the
15 Department was that that was essentially too
16 big a task and they needed more help.

17 So, I along with the SEM
18 Subcommittee looked at the list and we would
19 recommend that that the Department start by
20 integrating the data from IARC, the
21 International Agency for Research for Cancer
22 and the EPA IRIS database.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And so, what we have up here, I'm
2 going to describe -- just describe how the IARC
3 does their assessments and we have a slide
4 about the -- yes, that's good -- about how EPA
5 does their assessment.

6 Can people see that? Is that worth
7 looking at? It's a little fuzzy. So, maybe
8 it's not that helpful unless you can make it
9 much -- quite a bit bigger.

10 The reason there's -- let me just
11 explain -- the reasons that we're recommending
12 those two databases is because it's the, in a
13 way, the most bang for the buck, or the EPA
14 IRIS one is.

15 The 11 data sources that IOM
16 recommended, very comprehensive. They do
17 overlap to some degree. It makes sense, it's
18 different agencies looking at health
19 assessments of toxic chemicals. So, it's going
20 to make sense that ATSDR and EPA may have the
21 document that addresses the same question.

22 It's very likely that they have the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 same health effects and they're basing it on
2 the same information.

3 But, our committee thought that
4 since EPA is very thorough and ongoing and
5 active in terms of the assessments of
6 chemicals, that's a good place to start.

7 So, basically, EPA develops -- gets
8 chemicals proposed to them by other agencies or
9 by outside groups and they frame the scientific
10 questions specific to the assessment, develop a
11 draft. It's reviewed by health scientists
12 within EPA and by interagency scientific
13 consultation, so other federal agencies.

14 It's reviewed for public comment.
15 It goes through an external peer review and
16 those comments are incorporated into a final
17 Agency -- an interagency science discussion.

18 I mean, it's about as much
19 scientific input as you can get for government
20 documents, both with experts that produce the
21 document, both Agency, cross-agency and public
22 comment review.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It takes a long time. There's, for
2 any one of these chemicals, there are
3 organizations and individuals with strong
4 opinions about what EPA should say. So, and
5 it's all very public.

6 So, it's very -- I think, it's
7 definitely a database that the Department of
8 Labor can rely on. It's developed by a federal
9 agency.

10 And, if one just pulls up and reads
11 an IRIS assessment, it's very clear what health
12 effects are caused by those chemicals, what the
13 -- what the --

14 What they do and the most sensitive
15 health effect. They calculate an acceptable
16 exposure to the public. It's not focused on
17 occupational standards, but the health effects
18 are the same whether the exposure is in the
19 environment or in the work environment.

20 And then, they focus it around the
21 most sensitive end point. But, of the -- there
22 are about 500 assessments within IRIS.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And, of those, 110 have an
2 assessment for oral -- or for inhalation
3 exposure, which is probably the most relevant
4 to the occupational exposures.

5 So, we're talking about 110 reviews
6 that would identify health effects.

7 The IARC Group I carcinogens which
8 are accepted as known human carcinogens are
9 most likely already incorporated into SEM by
10 SEM relying on Haz-Map.

11 But, since Haz-Map hasn't been
12 updated, the new IARC monographs have probably
13 not been added to the SEM except maybe in, you
14 know, kind of high priority ones that someone
15 noticed.

16 And, we would also recommend that
17 the Department incorporate the Group II IARC
18 carcinogens which are probably human
19 carcinogens and that's really consistent with
20 the statute, I think, and the intent of the law
21 that compensation is for -- it's more likely
22 than not that this compound contributed, caused

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 or aggravated the condition.

2 And, probably human carcinogens,
3 using the IARC assessment, are way above that
4 more likely than on standard.

5 So, again, it's not -- there's not a
6 big number in the IARC documents. There's just
7 one table on the IARC website that lists the
8 chemical, the organ system where it causes
9 cancer, the number of the monograph and we just
10 need to incorporate that table.

11 The IARC peer review is similar to
12 the EPA IRIS in terms of its scope, although
13 they don't have a public comment. They don't
14 incorporate public comments.

15 But, they do have, the Agency
16 chooses the people to be on the panel, creates
17 a working group for each chemical they assess.
18 And, they invite additional specialists to
19 report to the panel.

20 The staff puts together a document
21 with all the information to give to the panel.
22 And then, when the working group meets, which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is for seven or eight days to assess one
2 particular chemical, they have representatives
3 from national and international health agencies
4 who are there and the IARC Secretariat.

5 Then, once the staff puts together
6 this information and the working group develops
7 a draft, they have specific subgroups that work
8 on the areas within each IARC monograph. They
9 reach a consensus.

10 And, also very importantly, there's
11 a very strong conflict of interest review for
12 people who are going to sit on this panel, sit
13 on the IARC working groups because, you know,
14 when IARC says something is a human carcinogen,
15 it has impact for actions on the industrial
16 level across the world.

17 So that they work really carefully
18 to get the best scientists and people who don't
19 have a conflict of interest.

20 It's a very impressive organization.
21 Anybody who's worked with it would -- you can
22 absolutely, totally rely on what they come out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 with.

2 It's not -- we, as the Institute of
3 Medicine said and as this Board has said, we're
4 not -- we wouldn't expect the Department of
5 Labor to conduct independent peer review of the
6 relationship literature to come up with
7 relationship between a toxic exposure and
8 health effect.

9 But, what we're recommending is that
10 the Department set up an internal process,
11 either with the current staff they have or
12 bringing in additional consultants.

13 And the Board would be happy to
14 review what that process is. We have some
15 ideas but we think it makes more sense for the
16 Department to come up with a process and then
17 we can -- the Board can help making sure that
18 that's reasonable.

19 To look at the list of chemicals in
20 IRIS and match it to the list of chemicals in
21 the SEM. And, if there's a chemical in the
22 SEM, then they should add that health effect

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 from that chemical that's identified by the EPA
2 assessment or by IARC to the SEM.

3 And, you know, as I said, it's about
4 110 chemicals in IRIS, so maybe 50, 100 at most
5 in IARC that are known and probably human
6 carcinogens. I think the number may be 110,
7 something like that.

8 So, that's the overall
9 recommendation. And, it's not that that would
10 be the end of it, but it's a -- with those two
11 data sources, with -- I think that the
12 Department would be garnering maybe, you know,
13 75 percent of the information that's in the ten
14 data sources.

15 The National Toxicology Program is
16 also something we could add. But, I think that
17 it overlaps pretty significantly with IARC.
18 Not completely, not completely, but it's -- but
19 what we hear from the Department is that 11
20 data sources is too much.

21 So, we could start with two, we
22 could start with three. You know, the National

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Toxicology Program is very similar in terms of
2 its -- the robust transparent process experts,
3 peer review, public comment.

4 So, you know, I wouldn't object to
5 that, I just want to -- I want to recommend
6 something that it's impossible to say it's too
7 much work. That's my goal.

8 You can't -- the Department can't
9 come back and say this is too much work. This
10 is not too much work. And, I guess it's not
11 impossible, right?

12 But, it's like, you know, if we look
13 at what the IOM recommended and then we, you
14 know, pull that one recommendation from that
15 and elevate it even more feasible, then it's
16 understandable these documents are -- they are
17 technical, you need technical people to read
18 them. But, there's not a lot of interpretation
19 that needs to be provided.

20 It's going through the documents,
21 finding the health effects and the chemicals
22 and matching it into SEM. It's a fairly simple

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 process.

2 And then, once those are completed,
3 the Board could then say, okay, well now, go on
4 to others.

5 So, open for comment or discussion
6 if people feel strongly we should add NTP, I
7 don't mind at all. George does? Okay.

8 CHAIR MARKOWITZ: Dr. Friedman-
9 Jimenez?

10 MEMBER FRIEDMAN-JIMENEZ: Yes, I do
11 feel strongly that we should add NTP. I think
12 that they do overlap with IARC. In some ways,
13 they're driven by the IARC evaluations, but it
14 is fairly independent. And, I think it's an
15 excellent group.

16 I served on the Board of Scientific
17 Counselors Carcinogen Review Committee and
18 Steven is serving now. And, I don't know if
19 you agree with me, but I think that that does
20 add, and I don't think it's a lot of additional
21 work.

22 And, there is overlap but NTP has, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 believe, a lot more substances that they've
2 evaluated. And, they have a different
3 classification system of known human
4 carcinogen, reasonably anticipated to be a
5 human carcinogen. And, it's somewhat different
6 than IARC's.

7 So, I believe there is value added
8 by including NTP as well.

9 CHAIR MARKOWITZ: So, Dr. Welch, I
10 think you made -- there may be a slide at the
11 end of the NTP review process.

12 MEMBER WELCH: There is.

13 CHAIR MARKOWITZ: But, anyway, we
14 don't have to go through it, but maybe we
15 should just put it up so we can look at it
16 while we --

17 MEMBER WELCH: Yes, Kevin, if you
18 can scroll down, I think it may be the last one
19 in this slide. That -- yes, there it is.

20 So, that's the National Toxicology
21 Program process.

22 CHAIR MARKOWITZ: Dr. Sokas?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER SOKAS: And, just to support
2 what everybody's been saying, NTP includes not
3 just carcinogens, but other end points which is
4 important.

5 CHAIR MARKOWITZ: Dr. Boden?

6 MEMBER BODEN: So, a question. Can
7 you give an approximately amount of effort that
8 it would take for the DOL to do this? Even
9 though I understand we won't hold you to it,
10 but it might be helpful for DOL to know if
11 you're talking about a day, a month or a year
12 of somebody's time.

13 MEMBER WELCH: This is Laura Welch.

14 Well, I tend to underestimate the
15 amount of time things take for myself. But,
16 you know, I would say, depending on -- it
17 depends on how much the Department wants to
18 assure itself that IARC is authoritative.

19 I think we can assure them IARC is
20 authoritative.

21 So, to incorporate the IARC
22 carcinogens, to decide which ones to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 incorporate? Half an hour. I'm not kidding.
2 There's a list.

3 (LAUGHTER)

4 MEMBER WELCH: There's a list, it's
5 like here's the cancers, here's the organ
6 systems. That's it. Then you have to do the
7 work to get it into SEM.

8 IARC and NTP are a little more
9 complicated because there's not a table. They
10 haven't made a table that -- so, you have to
11 read the documents and determine what the
12 health effects are.

13 And, I think it would probably be
14 reasonable for the Department to have two
15 people do that and assure they, you know, be
16 sure that people come up with the same end
17 points as you read through the documents.

18 So, that's, I don't know, you know,
19 a month full-time. I mean, I -- to do all
20 those things, a month full-time. That would be
21 my estimate because it did -- you don't have to
22 read any scientific papers, just read through

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the documents.

2 It could be less than that, but --
3 and then, to -- it's not a lot of time. That's
4 what I would say.

5 CHAIR MARKOWITZ: Dr. Cassano?

6 MEMBER CASSANO: Yes, the one thing,
7 I remember one of the concerns of the
8 Department was the fact that they can -- some
9 of them conflicted.

10 And, I think what, you know,
11 obviously, different organizations put out
12 their consensus documents at different times.

13 So, you know, if you tend -- if you
14 see a conflict, then you should look at the one
15 that is done most recently to determine what
16 the more current science is, obviously, with
17 backup with the others, if you're talking about
18 the same chemical.

19 I mean, this is what I did at VA for
20 years is turn scientific evidence into policy.
21 And, if you're dealing with one chemical, it
22 may take you a day or two if you have to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 supplement -- if you want to supplement your
2 knowledge.

3 But, of course, I went further in
4 that. We did -- I would look at literature
5 past the latest consensus document.

6 But, it doesn't really take that
7 long because you've got it all laid out for you
8 either in a table or just by reading the
9 conclusions of the consensus document or the
10 beginning of it. It's not hard.

11 CHAIR MARKOWITZ: Dr. Friedman-
12 Jimenez?

13 MEMBER FRIEDMAN-JIMENEZ: There's
14 not a lot of conflict between IARC and NTP.
15 They tend to agree in most cases.

16 Sometimes, one is more recent than
17 the other, as you said.

18 I want to correct what I said, IARC
19 has more -- has 114 Group I known human
20 carcinogens, NTP has 62. So, there's a
21 difference there.

22 And, there's a 2A and 2B under IARC

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 which is probably carcinogenic and possibly
2 carcinogenic. And, that's a big distinction
3 from our perspective.

4 So, IARC gives more information,
5 whereas, NTP says reasonably anticipated to be
6 human carcinogen.

7 But, I think there's value in both
8 of them. And, as Rosie said, this opens the
9 door to using NTP evaluations for non-cancer
10 outcomes which could be very valuable because
11 they do extraordinarily detailed reviews of
12 neurotoxins, respiratory toxins, immunotoxins
13 and a variety of other non-cancer causing
14 chemicals.

15 CHAIR MARKOWITZ: So, just to be
16 clear, when we refer to NTP then we're
17 discussing two types of documents. One is
18 their report on carcinogens which is parallel
19 but doesn't completely overlap the IARC review.

20 And then, there's something called
21 from Table 3.1 of the IOM report, the health
22 assessment and translation evaluations which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are non-cancer outcomes of which there are deep
2 evaluations, but a limited number, I think.

3 It's not -- we're not talking about
4 dozens and hundreds the way we are with the
5 carcinogens with these health assessments.

6 So, just to put it into perspective.

7 Dr. Dement?

8 MEMBER DEMENT: Well, the IRIS
9 documents are going to get more to the non-
10 cancer influence. I mean, they look at cancer
11 as well, but they look at non-cancer end
12 points.

13 I think this probably will extend
14 the NTP list considerably as well.

15 CHAIR MARKOWITZ: Dr. Silver?

16 MEMBER SILVER: So, there's this
17 clause in DOL's response we found that some of
18 the information is not relevant to occupational
19 exposure. We know that.

20 In an environmental database, there
21 might be some chemical where the most sensitive
22 end point was observed in children through oral

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ingestion of water, that's fine. But, it
2 doesn't vitiate all the other valuable
3 information in an environmental agency's
4 database. So, get on with it.

5 CHAIR MARKOWITZ: So, you know, the
6 comment was made that perhaps we'd heard from
7 DOL that it was too much work to look at all
8 the sources that the IOM recommended. That's
9 not my interpretation of Mr. Steinberg's letter
10 which, unfortunately, I don't have here.

11 But, I interpret that their response
12 is assistance from us in triage and, you know,
13 where we should start and how to proceed. And,
14 I think we're responding to that.

15 But, we shouldn't, in any sense,
16 convey that we believe that start -- by
17 starting by, frankly, the easiest and most
18 directed sources that it ends there. Because
19 the other sources that list them in Table 3-1
20 in the IOM report are very important.

21 And, some of them are difficult to
22 work with. You know, the pocket, the NIOSH

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Pocket Guide. I don't know when the last time
2 it was put out, but it's not necessarily the
3 easiest thing to integrate.

4 So, DOL should get there and it
5 needs to build the capacity to get to the rest
6 of the sources.

7 But, moving ahead with those
8 authoritative sources initially makes no sense.

9 George, did you -- is your card up
10 because you wanted to say something?

11 Dr. Welch?

12 MEMBER WELCH: So, I was looking to
13 see if I had the language, too. And, I think
14 that your interpretation is more appropriate
15 really.

16 And, I think it's a better way to
17 say it, so it's sort of like -- and that's kind
18 of in some ways what we're saying is, if you
19 start with these two, one, they're relatively
20 easy to use and because the information is
21 formatted and it will -- and start with these
22 three, with the three of them, it'll cover the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 majority of what's going to be in the
2 remainder, as you said.

3 Because some of them are updated,
4 out of date.

5 And, I wanted to also follow up on
6 what Dr. Silver said about not being relevant
7 to occupational exposures.

8 The data within IRIS, and the reason
9 the EPA develops it is to look at health
10 effects to the general population to the
11 environment. They're not setting regulations
12 for occupational exposures.

13 But, the same chemicals, if they're
14 used in occupational environment, can result in
15 the same health effects.

16 So, it's a very broad picture, an
17 assessment done for environmental exposure is
18 very relevant to occupational exposures.

19 Now, obviously, if the end point
20 isn't one that we would see in this population,
21 then you don't include that end point. And, a
22 lot of the end points for -- in the IRIS

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 database are reproductive, so that's something
2 that could be included, probably generally
3 hasn't been included in the SEM because it's an
4 effect on the unborn kids. That's a -- that
5 would take another discussion.

6 But, the big picture that the
7 assessments are done for the purpose of
8 assessing environmental exposure that are
9 really highly applicable to occupational
10 environment.

11 CHAIR MARKOWITZ: Dr. Cassano?

12 MEMBER CASSANO: Yes, you know,
13 usually it doesn't go the other way because,
14 you know, see a lot in literature, well, those
15 effects only occur in occupational
16 environments. They don't occur, you know, in
17 the general population in the environmental
18 exposures because the exposure is so low.

19 But, when you go the other way from
20 an environmental exposure to a higher level of
21 exposure, if the end result occurs at a low
22 level environmental exposure, it almost

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 definitely will occur at a higher occupational
2 level exposure.

3 So, to continue what Laura said,
4 they become very applicable to occupational
5 health. And, the route of exposure is not
6 necessarily always that important, in some
7 instances, it is.

8 CHAIR MARKOWITZ: So, I'm very
9 concerned about actually the DOL's capacity to
10 do this work.

11 And, I think Mr. Steinberg's request
12 letter to us about helping -- he's seeking help
13 in triage and figuring out where to --
14 basically where to start, to me, reflects the
15 insufficient expertise that the program
16 currently has access to.

17 The Board, I think, just to reflect
18 what Dr. Welch said, at least this Board is
19 very happy to help with this process to
20 monitor. We'd like to, I would say, monitor
21 this.

22 This is a very important issue here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But, to me, this is just added information,
2 added evidence, really, that there needs to be
3 an enhanced capacity of the program to have
4 access to scientific and medical industrial
5 hygiene, toxicological expertise in order to do
6 this.

7 I don't know if that's needed in
8 order to do the first set, the IRIS, NTP and
9 IARC. But, it would be needed to move beyond
10 that.

11 So, I would sort of reiterate that I
12 know that's a different recommendation we made,
13 but, to me, this is evidence that underlies our
14 recommendation on that.

15 Dr. Sokas?

16 MEMBER SOKAS: And, just to be
17 clear, I think the Board would also probably be
18 happy to review, if the Department of Labor has
19 a contract with someone to accomplish this, if
20 the internal resources are already maxed out
21 and not able to do this, that the Board would
22 be happy to review the qualifications of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 contractor.

2 And, I would suggest that there are
3 other agencies within DOL like OSHA that have
4 these large contracts available with groups
5 that may have more expertise than the ones
6 currently under OWCP.

7 So, for example, you know, I
8 wouldn't want to necessarily see Paragon do
9 this if they haven't been capable of doing it
10 in the past with the SEM. So, it may be that
11 there would be other organizations -- other
12 contracts available across the Department that
13 would be accessible.

14 CHAIR MARKOWITZ: Actually, Ms.
15 Leiton, I have a quick question for you, just a
16 factual question. Paragon, I know they work
17 with you in terms of the SEM.

18 Do they also -- do they have
19 epidemiologists? Do they have physicians? I
20 imagine they have industrial hygienists, people
21 whose area really is on the exposure side.

22 But, do they also have health

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 experts such as epidemiologists? Because
2 that's really -- epidemiology is really key to
3 interpreting these various databases and using
4 them.

5 MS. LEITON: They have -- they
6 definitely have IHS. They don't have a medical
7 team there. But, everything that goes in in
8 terms of health effects is reviewed by our team
9 which includes doctors and toxicologists, not
10 an epidemiologist, but a toxicologist.

11 We do use IARC already, but only the
12 first group. We haven't gone on to the second
13 group yet.

14 And, the clarification about not
15 having the resources or the time to look at
16 this stuff, it wasn't that. It was just that
17 there's a lot of tables. Some of them were
18 inherently inconsistent with each other when
19 they did the review. Some of them were, as you
20 indicated, not really related to occupational
21 exposure.

22 And so, limiting it was helpful.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And, we do have the resources to look at these
2 things. It's just that we didn't want to just
3 kind of -- when you're looking at all those
4 different tables and they did look at all of
5 those different tables, they said, well, we
6 didn't -- they didn't think that some of them
7 were related to the work that we do, weren't
8 sure that they were all actually consistent
9 with each other.

10 So, the narrowing down you're going
11 to do is going to be helpful.

12 We do look at them -- everything
13 that we add in is looked at by a team which
14 includes, as I said, doctors and toxicologists.

15 CHAIR MARKOWITZ: As you develop a
16 plan to integrate these sources, make sure that
17 they're in the SEM, can you provide us with a
18 copy of that plan so we know sort of what's
19 going to happen when and how things are
20 happening?

21 MS. LEITON: Absolutely.

22 CHAIR MARKOWITZ: Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Other comments, questions?

2 Mr. Whitley?

3 MEMBER WHITLEY: While we're dealing
4 with the SEM, I know we talked a little bit
5 about it here, are we going to deal with the
6 job categories and the chemicals that those job
7 categories use?

8 Because that's -- they use that in
9 the -- when they're doing claims a lot. And,
10 if a job category is not listed as using
11 certain chemicals, then it's kind of like they
12 don't -- they deny it.

13 Are we going to tackle that or are
14 we going to make a recommendation?

15 CHAIR MARKOWITZ: Well, I have my
16 own view of that. But, if anybody else wants
17 to respond first?

18 I think that we need to re-look --
19 I'm not sure to the extent to which the Board
20 has really critically looked at SEM beyond our
21 initial look at its structure and its
22 limitations and the IOM report on that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But, 18, 19 months have passed for
2 this Board and I think we should at the next
3 Board Meeting -- I think we should recommend
4 they re-look at the issue of SEM, how it's
5 updated, what's happened in the past 18, 20
6 months as we learned about it.

7 What are they doing in the absence
8 of contracting Dr. Brown? What's happened with
9 Haz-Map with the connections between the
10 exposures and the diseases and are those
11 updated beyond what we've discussed so far?

12 So, I think I agree with you. I
13 think it should stay on the agenda as an issue
14 that needs to be examined.

15 Ms. Vlieger?

16 MEMBER VLIEGER: Again, while we're
17 on the topic of SEM, there must be some
18 rationale documents associated with each
19 addition or subtraction from the SEM.

20 Is that database available to us or
21 can we get a report from the contractor on
22 those additions and subtractions to the SEM?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR MARKOWITZ: I guess that's a
2 question for Ms. Leiton.

3 MS. LEITON: So, you're asking us to
4 provide you with a report of everything that's
5 been added or subtracted in the SEM?

6 MEMBER VLIEGER: No, ma'am, there
7 must be a rationale for -- I mean, they don't
8 just add something and walk away. There must
9 be some work done behind it. There must be
10 some rationale.

11 Are there rationale documents for
12 when they add and subtract things to the SEM?

13 MS. LEITON: They have a process,
14 but maybe we can provide you with the process
15 they go by when they do that. That would be
16 easier than trying to give you a description
17 and rationale behind every move they make in
18 SEM.

19 So, I can probably provide a basis
20 of the process for how they do that.

21 MEMBER VLIEGER: Okay. Well, but
22 the actual question is, is I believe

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 rationally, there should be documents of how
2 they do things.

3 So, when something's added, why it's
4 added. I believe there should be a library of
5 their work of their, you know, who decided what
6 and on what basis.

7 So, I don't want names, I would just
8 like to see the documents where they're adding
9 and subtracting things, because, from my
10 perspective, the rationale is not rational.

11 MS. LEITON: Okay. Well, I see what
12 I can do contractually.

13 CHAIR MARKOWITZ: Yes, Mr. Whitley?

14 MEMBER WHITLEY: Hey, Rachel, the
15 question I think I've got is, there was
16 chemicals put in the SEM database and they
17 removed chemicals. Why would you remove a
18 chemical if you said, now, we use that chemical
19 in 1975, why would you take it out of the
20 database today? What rationale would there be
21 to remove a chemical from the database?

22 MS. LEITON: I'm going to have to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 get back to you. And, I can look at what they
2 -- what their processes are. They have
3 processes. I know they have rationale behind
4 them. I'm going to have to look at what
5 documentation they have and what we can provide
6 within our contract.

7 CHAIR MARKOWITZ: Other comments?

8 Dr. Friedman-Jimenez?

9 MEMBER FRIEDMAN-JIMENEZ: I thought
10 the discussion of IARC and NTP is very
11 important and useful. And, I'd like to expand
12 that and ask a question.

13 I don't really have an understanding
14 of what the system is for ongoing updating of
15 the SEM and exposure information and exposure
16 health association information to reflect
17 advances in science.

18 For example, COPD 20 years ago
19 wasn't really thought of as an occupational
20 disease and there's been a lot of science in
21 the last 15 years that has changed our view of
22 it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And, I saw in the manual a good
2 number of sections that read more like 20th
3 century science.

4 So, it seems to me there needs to be
5 an ongoing process. And, I'm wondering what
6 that is and if that's -- if we need to discuss
7 that?

8 MS. LEITON: I think that that might
9 have been a question for me.

10 What I'd like, if we can get back to
11 you on these questions, this round of questions
12 just so that I can make sure I give you a
13 proper, thorough answer, that would be really
14 helpful.

15 I mean, to our entire process for
16 how we -- I mean, I can tell you that we have,
17 you know, we have a process whereby our SEM
18 team does research. We get documents from the
19 public in our SEM mailbox.

20 When they, you know, they are
21 constantly updating it based on the research
22 they can do. Like, for example, they went to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DOE Record Center. They looked up a lot of
2 records there to look for additional toxic
3 substances.

4 When we get information from the
5 public or we get documents from our claim files
6 about toxic substance exposures that are links
7 that we might be able to put in the SEM, that's
8 when our team looks at the health effects and
9 they add a document -- a toxic substance link
10 into there with a particular health effect.

11 The SEM team itself, in terms of
12 adding toxic substances, they will do the
13 research. They'll say, oh, we found, you know,
14 10,000 toxic substances at Santa Susana, for
15 example. And, we have added those based on
16 maybe members of the public or what we found in
17 other records or what they've done in research.

18 And, they'll go and they'll say, we
19 think these should be added. Here's why they
20 give it to our government staff and policy.

21 We review it and consult with our
22 toxicologist and our IH and then they'll add

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 them.

2 It's a pretty simple process for
3 adding things.

4 Deleting things is a little bit
5 different and it's rare that they do that, but
6 if they do that, it's because they had found
7 some conflicting evidence to say, this really
8 wasn't there or, you know, there's various
9 reasons and they always have a reason for it
10 and I'm sure it's documented.

11 But, I -- that process is
12 interactive with us. It's, as I said, very --
13 it's a lot easier to add things than to
14 subtract things.

15 And, the health effects, since we
16 don't contract with Dr. Brown anymore, is a
17 little bit more challenging. He does -- there
18 are things still added through in the LEM to
19 that database which we will take.

20 But then, we do research with IARC
21 and we do research that comes in through
22 various sources.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, it's -- that's kind of the high
2 level of how we do it.

3 CHAIR MARKOWITZ: Thank you, Ms.
4 Leiton.

5 Dr. Silver?

6 MEMBER SILVER: Because of the
7 ambiguity about the Board's future, you're
8 probably already on this, but I wonder if our
9 Chair is keeping a list of recommended issues
10 for the next version of this Board to tackle.

11 And, I think, Garry, with these
12 concerns about job categories and that aspect
13 of the SEM, you know, it would be a big chunk
14 of important issues for the next Board to bite
15 off.

16 CHAIR MARKOWITZ: I would say that
17 Mr. Whitley's concern is on the list.

18 We need to fill out that list over
19 the next couple of months and that will be one
20 of the agenda items on the next Board Meeting -
21 - the telephone Board Meeting is what issues
22 that we think are a priority that we are still

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 working on, we haven't gotten to, whatever,
2 that the next Board should take up.

3 Ms. Vlieger?

4 MEMBER VLIEGER: This is a question
5 for Carrie. Carrie, are you keeping track of
6 what we're putting forward here as ideas or is
7 it just --

8 (OFF MICROPHONE COMMENTS)

9 MEMBER VLIEGER: -- in a minute's
10 great, thank you.

11 CHAIR MARKOWITZ: And, Ms. Rhoads,
12 are you keeping track of the things that the
13 Department is saying that they're going to
14 provide for us, a record of things?

15 MS. RHOADS: Yes.

16 CHAIR MARKOWITZ: Okay, thank you.

17 Other comments or questions on this
18 topic?

19 (NO RESPONSE)

20 CHAIR MARKOWITZ: Okay, if not,
21 let's move on.

22 MEMBER WELCH: Can I make one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 clarification? So, I'll -- should I write this
2 up as a recommendation that then we would
3 consider more formally at the -- at our phone
4 Board Meeting or do you think it doesn't need
5 to be a recommendation?

6 CHAIR MARKOWITZ: What you should
7 write up is a comment on their response which
8 we will review in January in the Board Meeting
9 and vote on.

10 Okay, let's move on to the
11 occupational health questionnaire. This is the
12 final recommendation that we haven't looked at
13 yet. So, Kevin, if you can bring up the second
14 set of recommendations?

15 And, I think Dr. Welch is going to
16 lead this discussion. But I want -- let me
17 just summarize this recommendation that
18 everybody's oriented.

19 It has to do with enhancing the
20 occupational health questionnaire. And, by way
21 of background, the SEM really doesn't have
22 information of frequency, duration, intensity

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of exposure within the complex.

2 And, that's a problem for people who
3 are trying to make a judgment about work
4 related diseases and relevant exposures.

5 So, our recommendation was that --
6 and I'm just going to summarize, that the
7 revised occupational questionnaire expand the
8 current list of hazards and exposures and
9 materials that are listed.

10 That, for those exposures, the
11 workers should be asked how he or she was
12 exposed, including getting text on their own
13 description.

14 The frequency of exposure that the
15 worker had. And then, if the worker used the
16 material directly or was a bystander in the
17 area where that chemical was used?

18 We further recommend that the
19 occupational health questionnaire -- there
20 could be the list of specific exposures, but
21 also the opportunity for the worker to add
22 additional exposures that they know about that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 aren't on the routine questionnaire.

2 Then, we provide a list of some
3 hazards. It's a limited list of -- but an
4 additional list.

5 And then, we also recommend that the
6 OHQ add the list of tasks that's currently used
7 in the construction worker, former worker,
8 project.

9 And, finally, or almost finally, the
10 -- our recommendation was that a question be
11 asked about -- specifically about vapors, gas,
12 dust and fumes then that echoes our
13 conversation yesterday about COPD, getting
14 details about those exposures including
15 frequency and the like.

16 And then, finally, then a new
17 version of the occupational health
18 questionnaire be tested and be piloted before
19 put into use.

20 So, that's the summary of our
21 recommendation.

22 Now, if someone wants to read the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 response -- DOL's response. We had some
2 excellent readers yesterday. You couldn't
3 possibly be exhausted.

4 MEMBER WELCH: Can I ask a question
5 --

6 CHAIR MARKOWITZ: Sure.

7 MEMBER WELCH: -- that shows my lack
8 of preparation that the comments say that the
9 draft OHQ is attached, but I don't have it.

10 MS. RHOADS: It was sent in an
11 email.

12 MEMBER WELCH: Okay. And so, you
13 know, I'm -- I can't -- so, I got up this
14 morning trying to be ready to talk about it, I
15 realize I don't have the draft, so I can't
16 really respond. But, other people can probably
17 help in terms of responding.

18 CHAIR MARKOWITZ: Let's read the
19 response. I don't think the intent here was
20 for us to go through line by line of their
21 draft and see the extent to which it comports
22 with our recommendations.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 That wouldn't be the kind of work
2 we'd do. Wouldn't have time to do that as a
3 Board. But, nonetheless, it would be useful to
4 be able to access it.

5 But, let's start while I guess
6 someone -- Carrie's sending it around -- if we
7 can just start with reading the response.

8 MEMBER WELCH: Yes, I can start with
9 that.

10 So, upon review of the Board's
11 recommendations in Section A, OWCP agrees that
12 claimants who provide detailed accounts of work
13 processes, labor activities and other
14 operational descriptions of an employee's work
15 activity are the most reliable and substantive
16 mechanism for assessing employee occupational
17 exposures to toxic substances.

18 In fact, OWCP has revised the OHQ
19 and the Board's recommendation that the worker
20 be asked to describe how he/she was exposed to
21 each material using free text is included.

22 The draft OHQ also provides more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 room for a description of job tasks and
2 requests that the claimant advise as to whether
3 he/she was in a particular union or was part of
4 the former worker program.

5 In the draft, OWCP reduces the list
6 of toxic substances. And, instead, lists broad
7 categories under which the claimant may provide
8 specific toxic substances, for example, high
9 explosives or metals.

10 Over the last 10 years of conducting
11 OHQ's, OWCP has found that the ability of a
12 claimant, particularly a survivor, to
13 affirmatively self-select toxic substance
14 exposures from a list, often times does not
15 produce reliable or useful information.

16 With regard to the list used by
17 BTMed, and it references a website, this list
18 refers solely to construction and trade
19 positions and, therefore, would not be
20 applicable to a general OHQ that applies to
21 employees in all occupations.

22 With regard to the Board's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 recommendation in Section B that proposed to
2 add a section on reported exposures to vapors,
3 gases, dust and fumes, our concerns are
4 contained in our response to Recommendation
5 Number 3 regarding the use of this language.

6 If the Board develops a list of
7 toxic substances that represents vapors, gases,
8 dust and fumes, OWCP will consider how that
9 list may be addressed in the OHQ.

10 OWCP agrees with the Board's
11 recommendation in Section C that the new
12 version of the OHQ be tested multiple times
13 prior to becoming final and will have the
14 resource centers conduct these tests.

15 Attached is a copy of the draft OHQ
16 OWCP recommends, welcomes specific
17 recommendations concerning modifications to the
18 draft that the Board may have.

19 CHAIR MARKOWITZ: Okay, comments?

20 Dr. Dement?

21 MEMBER DEMENT: I think we, in
22 principle, agree with the intent of the change

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in the occupational history to allow more
2 detailed description of tasks performed with
3 the material.

4 And, I think the question that we
5 were faced with is how to -- how best to get
6 that information from workers who may or may
7 not have a good level of recall.

8 I think what we found in the BTMed
9 program, rather than saying, you worked with
10 this material, we also say -- ask, you know, we
11 ask the question, you worked with this material
12 and then, based on that experience over the
13 last 20 years, give them a list of common tasks
14 that construction trade workers would have done
15 with this material and ask them did they do and
16 how frequently they did it.

17 And, I think what we found is that
18 providing that list, and we acknowledge that
19 the list is not complete for production workers
20 and that's something that I think is subject of
21 continuing development, but these general
22 categories of tasks.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But, we've found that by providing
2 that list, it actually helps to stimulate
3 recall of the worker to provide that
4 information.

5 So, that's the intent of it all.
6 And, I'd say from the outset, you know, we
7 collect these types of data in the BMed
8 program. We know that we're missing lots of
9 information with regard to exposures.

10 But, our intent is to at least to be
11 able to identify individuals who had
12 substantial exposure versus those who had
13 lesser exposures.

14 And, I guess the final comment is,
15 based on taking that information and relating
16 it to specific outcomes -- health outcomes --
17 we found it to be a useful process in that type
18 of separation of exposures and identification
19 of higher and lower risk groups.

20 CHAIR MARKOWITZ: Ms. Vlieger?

21 MEMBER VLIEGER: I'd like to agree
22 wholeheartedly with Dr. Dement. I have seen

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the difference between the questionnaires that
2 come from the worker medical programs versus
3 the old OHQ and now this proposed OHQ.

4 I was a planner at the Hanford site.
5 Our right to work station looked like two
6 Gutenberg Bibles set edge to edge with pages in
7 them.

8 At the time of my accident, when I
9 said please tell me what happened to me, what
10 was I exposed to? And, I was told, well, go
11 look it up yourself at the right to know
12 station.

13 For those of you who don't know what
14 a right to know station is, that's an MSDS bank
15 of records for everything you could possibly be
16 exposed to.

17 Now, as a planner, I was supposed to
18 know what my workers would be exposed to and I
19 took great diligence to figure that out.

20 But, after 20 years and retirement,
21 no one is going to remember that list. So,
22 without providing some guideline as Dr. Dement

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 has said, for the chemicals they were exposed
2 to by labor category, this new form looks, to
3 me, much more worker unfavorable than the
4 previous one.

5 I know it's an attempt to fulfill
6 our request, but without linking it to those
7 groups of chemicals and most of the workers
8 don't know how to use the SEM. Some of our
9 workers don't have computers nor do they want
10 to learn how to use them.

11 So, I think at the process, using
12 the new form, if there's some way to attach a
13 group of chemicals for their known labor
14 category and then anything they'd like to add.

15 But, otherwise, I see this as an
16 epic fail again.

17 CHAIR MARKOWITZ: Dr. Friedman-
18 Jimenez?

19 MEMBER FRIEDMAN-JIMENEZ: I want to
20 strongly agree with Dr. Dement and his
21 evidence-based comments about recall. Recall
22 is certainly a major factor in identifying past

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 exposures.

2 But, in light of what Ms. Vlieger is
3 saying, I think there's another problem which
4 may be as important which is lack of knowledge
5 of what the exposures are.

6 So, if someone doesn't know exactly
7 what they were exposed to, they may not
8 identify it on a specific list.

9 And, what I would not like to see, I
10 agree with providing a list, but I'm really
11 worried that if the list is provided and
12 someone doesn't answer that they were exposed
13 to a certain chemical but they say they were
14 exposed to vapors, gas, dust or fumes, that
15 they will be considered unexposed because they
16 didn't answer yes to the specific toxin.

17 So, I would like to propose that it
18 be either or. Either they answer yes to the
19 vapors, gas, dust or fumes or they answer yes
20 to a specific toxin. And, the specific toxins
21 would then act to jog people's memory and
22 identify specific exposures.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But, I think that -- I've seen so
2 many patients that just did not know what they
3 were exposed to. And then, when we threw
4 research and identifying products, identify it,
5 we see that there's a clear relationship.

6 So, I just wanted to point out that
7 possible issue.

8 CHAIR MARKOWITZ: Mr. Domina?

9 MEMBER DOMINA: Just a comment on
10 some of the chemicals, like some of the ones
11 that were used at Hanford are so exotic, there
12 is no health studies. And also, I believe
13 there's still some of them that aren't
14 classified.

15 And so, that has to be dealt with
16 also. And, that leaves people out on occasion.

17 And, I guess that's it.

18 CHAIR MARKOWITZ: Dr. Welch?

19 MEMBER WELCH: Laura Welch.

20 One of the things that we proposed
21 when we proposed a list of hazards, we proposed
22 both asking people about hazards and tasked.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And, we proposed if people describe that they
2 were exposed to a particular chemical that then
3 they asked to describe how they were exposed.
4 What was the task?

5 Usually, I mean, when I am looking
6 at, you know, our BTMed former worker
7 questionnaires to determine if somebody had a
8 particular exposure, if their exposure might --
9 their work might be related to the disease they
10 have.

11 I find the task is the most useful
12 to me because, not only is it I generally know
13 what people were in the construction trades, if
14 they did a particular task based on the year, I
15 have some idea about what they were exposed to.

16 And, the task gives me some idea of
17 the intensity of exposure, too.

18 So, the task information in, you
19 know, sort of an expert assessment, not
20 necessarily what the claims examiners would do,
21 but what the industrial hygienist would do.
22 The task is really, really important.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And then, the task and the list of
2 substances have to be integrated. Having just
3 a list is not very helpful. I mean, Department
4 of Labor and in their response, the Department
5 in their response says that it's not -- just
6 having people self-select toxic substances from
7 a list often is not reliable or useful.

8 I think it's useful if it's then
9 linked to the actual activities. So, if you
10 think that people are just checking things off
11 on a list, asking for more information when
12 they check a particular agent, then how they
13 used that agent would then allow someone
14 reading it to say, that makes a lot of sense,
15 yes that makes sense. We know that that
16 particular agent would have been used in this
17 activity.

18 But, as we know, many of the agents
19 in this complex were -- they had numbers, they
20 didn't have names. So, task is still going to
21 be, I think, one of the most valuable ways to
22 assess exposures.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But, again, that has to be looked at
2 by industrial hygienists to really interpret
3 that task.

4 CHAIR MARKOWITZ: The -- Steven
5 Markowitz.

6 The, you know, construction in large
7 part does your work, yours and Dr. Dement's and
8 others at the CPWR, is an identified and
9 limited universe of tasks and hazards. You can
10 capture most of them in a finite list.

11 You know, in our chores at the DOE
12 complex, the heterogeneity of activities that
13 are undertaken at DOE, we certainly couldn't
14 describe ahead of time a list of tasks for
15 production workers for the whole set of other
16 types of workers, engineering workers,
17 administrative service workers, et cetera.

18 And so, they can be asked about what
19 their tasks are and they should be. The
20 problem with the OHQ is that the interviewer
21 probably has limited expertise and an ability
22 to actually ask about them to get a relatively

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 complete set of tasks on those types of jobs.

2 So, it gets back to what we've
3 discussed before, who's doing the interview?

4 Industrial hygienists clearly can do
5 that, but they aren't administering the OHQ.
6 So, there's a problem there.

7 I don't know who was next. Dr.
8 Cassano?

9 MEMBER DEMENT: Just to follow up on
10 Steven's comment.

11 You know, so, what we're trying to
12 do in the OHQ is sort of as a hygienist would
13 transmit, you know, what we would ask if we
14 were sitting in front of this worker.

15 Okay, you tell me you worked with
16 benzene. Then, the next obvious question is,
17 describe how you worked with it.

18 So, really the task is what we're
19 looking for. And, we can do better with
20 construction workers. We have lists we can --
21 and they're reasonable.

22 We're missing that for production in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 large part, you know, it varies by site so
2 tremendously.

3 But, nonetheless, as a hygienist, if
4 you can tell me what you did with benzene, even
5 it if was at a different site, but the process
6 itself, were you cleaning parts with benzene?
7 Were you pouring -- transferring benzene from
8 container to container? Those are the things
9 that are so helpful.

10 The difficulty is trying to use a
11 second party to collect that information.

12 So, there's two things that we
13 thought were helpful.

14 One, former workers doing that work
15 are in a better position to know some of that
16 anyway. They may not have done that exact
17 task, but they're familiar with the site and
18 they are familiar with what I would call
19 industrial work.

20 The second process we thought was
21 useful would be to allow, even after that
22 process is done and that information is there,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 if the worker has a claim and it doesn't meet
2 one of the presumptions a priority, then the
3 hygienist has the ability to go back and ask
4 more specific questions of the individual by
5 direct discussion.

6 So, that was the thinking process
7 beyond -- behind, you know, this version of the
8 OHQ.

9 CHAIR MARKOWITZ: Mr. Domina?

10 MEMBER DOMINA: Yes, I agree with
11 Dr. Dement and Dr. Welch.

12 And, you know, the production
13 workers is a lot different. And, you know,
14 like I said, the prime example is walking into
15 that machine shop. As soon as they open the
16 door, you can smell cutting oil.

17 That building's been there for 65
18 years. And so, you can't leave out
19 secretaries, clerks, anybody else because they
20 are in the process production areas of any of
21 these sites across the country.

22 Most of these areas vented to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 atmosphere and so the concentrations, day after
2 day, year after year.

3 And, I, you know, I hope, you know,
4 that we -- and, I know it's extremely difficult
5 to do that, but you have to have somebody that
6 has knowledge of those buildings, how many
7 different air zones there may or may not have
8 been, upset conditions, wind, all those things
9 when they pressurize the building.

10 So, you may have supposed to be
11 negative in your building, but when you have a
12 lot of wind, as we do, it pressurizes the
13 building and then all bets are off.

14 And, yes, the job categories I think
15 for construction trades is a little bit easier.
16 But then, we have construction then they go to
17 the production side for us. And then, it's
18 just all the different things you can't leave
19 any stone unturned, in my opinion.

20 And, I know it's going to be
21 inherently difficult, but I don't -- no one
22 should be left behind.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR MARKOWITZ: Well, I mean --
2 Steven Markowitz.

3 Say that in our recommendation, we
4 did add a question about bystander exposure.
5 And so, the OHQ should at least have the
6 opportunity to collect that information that
7 you're referring to as bystanders.

8 The problem there is, they won't
9 know what they were exposed to, but,
10 nonetheless, getting it down that they worked
11 in that building and they were a bystander and
12 there were some exposures is a start for the
13 responses to the questionnaire.

14 MEMBER DOMINA: Just a quick
15 comment. Sometimes, you know, there should be
16 documentation for certain things because, like,
17 you might have had a campaign for some certain
18 deal that ran for a year, two years, or
19 something. And then, another time, it may be
20 routine.

21 But, I think that hopefully there's
22 documentation for some of that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR MARKOWITZ: Dr. Cassano?

2 MEMBER CASSANO: A couple of
3 comments.

4 First, on the OHQ, I mean, as far as
5 the bystander problem, what we've done in the
6 past many times is asked someone on a
7 questionnaire to diagram the workplace and what
8 processes were going on and where they were in
9 relationship to that.

10 And, sometimes, that's very helpful
11 in determining what someone like a secretary or
12 whatever would be -- would have been exposed
13 to. They may not know what the process is, but
14 they know that there was some chemical thing
15 going on here.

16 And, that's been very helpful in
17 some situations.

18 The other comment I had was about
19 the BTMed questionnaire. It may not be
20 relevant for all of the workers, but you should
21 really audit the two questionnaires and, if
22 there is something in that BTMed questionnaire

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that is not in the OHQ, it should be added.
2 Because, that's an additional piece of
3 information that people that are very smart
4 about this have developed.

5 CHAIR MARKOWITZ: Dr. Dement?

6 Yes, Mr. Whitley?

7 MEMBER WHITLEY: When I go look at
8 the SEM and I look up a supervisor or I look up
9 a secretary, it says no chemicals. I just
10 looked up two or three just now, it says no
11 chemicals.

12 But, as Kirk just said, the
13 secretaries office was out in the middle of the
14 machine shop. The supervisor of the machine
15 shop is out there walking around where they're
16 cutting bars all day long.

17 So, I don't think the SEM can be
18 used to deny claims. So, I don't know how it's
19 such a large thing, it's very useful. But, it
20 can't be used to deny claims because, it's like
21 saying, the supervisor or an engineer both says
22 no chemicals.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, if you looked on the SEM and it
2 says they didn't have any chemicals around
3 them. All those, I just looked them all up.

4 CHAIR MARKOWITZ: So, you know, the
5 strategy is that the OHQ should be able to
6 capture enough information to overcome that
7 deficit in the SEM.

8 Because it's specific to that
9 individual and it should be able to capture
10 more detail. That secretary may not know what
11 those chemicals were, but at least it can be
12 related that she or he worked in that location
13 and, therefore, they have been exposed.

14 Dr. Dement?

15 MEMBER DEMENT: Yes, I agree with
16 Garry. I think some of the more classic cases
17 in the occupational literature actually
18 occurred among non-production people.

19 There's some of the beryllium
20 disease cases, I mean, they were clearly on the
21 production. There were sometimes people
22 working in the files in the offices.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But, I think another point for the
2 OHQ, it may not answer everything, it's an
3 attempt to gather as much as you can in the
4 limited time with a limited amount of recall.

5 But, the other thing is to allow the
6 hygienist to have that total package of
7 information.

8 In many cases, there were comments
9 made about tasks. It may not give the final
10 answer, but it will be a red flag for the
11 hygienist.

12 I want to ask specifically about a
13 few of these tasks that are listed or
14 exposures.

15 So, hopefully, some of those
16 individuals who have this bystander or non-
17 production exposure would be picked up in that
18 process. And, we hope that by the process
19 picked up in the overall process of
20 adjudication in the IH evaluation.

21 CHAIR MARKOWITZ: Dr. Silver?

22 MEMBER SILVER: Did anybody see any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 qualifying language in the boilerplate of the
2 OHQ that tells the claimant that this is a
3 start, it's only a start and it would be in
4 your interest to supplement the record as your
5 claim proceeds with additional information such
6 as the questionnaire from the former worker
7 programs, information provided by coworkers, oc
8 docs you may be visiting?

9 And, your authorized representative
10 often is a site specific advocate who knows a
11 lot about the buildings and processes and
12 materials.

13 I just feel that a lot of people go
14 into this and they think they're going to be
15 taken care of, kind of cruise control, fill out
16 your 1040 and you'll get your refund down the
17 road.

18 And, we should tell them up front
19 that the OHQ is just a start.

20 CHAIR MARKOWITZ: Dr. Friedman-
21 Jimenez?

22 MEMBER FRIEDMAN-JIMENEZ: Just a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 small point, but it may be important.

2 I'm looking at the OHQ section under
3 PPE, personal protective equipment, and it
4 seems to me it may not be explicitly enough
5 asked when did the person start to use PPE.

6 Because a lot of PPE became more
7 available in the '80s and '90s and wasn't used,
8 even though it was the same process earlier
9 than that. And the PPE can significantly
10 modify the exposure.

11 So, I think it might be asked a
12 little more explicitly, when did the PPE become
13 available and when did they start using it?

14 It does ask question like when did
15 you use the PPE, but not explicitly when did
16 you start using it?

17 CHAIR MARKOWITZ: Mr. Domina?

18 MEMBER DOMINA: Yes, if we're going
19 to get specific on PPE, and like we've
20 discussed before, PPE was used for RAD. We
21 didn't have chemical stuff available to us
22 until late.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And, basically, yes, if there's RAD
2 in the area and you're going in with a chemical
3 to clean something up, it's when you got a
4 headache is when you come out. That's how it
5 was done year after year.

6 So, you've got to be specific and
7 then the same thing with what kind of clothing
8 you may or may not have been wearing, you know,
9 standing in primary water that's just gone
10 through the reactor, all that stuff.

11 And so, we have to be specific on
12 that.

13 CHAIR MARKOWITZ: Dr. Dement?

14 MEMBER DEMENT: I think as a
15 hygienist, for me, PPE is at the absolute last
16 line of defense. And so, you wish to have
17 engineering controls in place to minimize
18 exposures.

19 And so, PPE availability in the
20 absence of a program to properly train,
21 administer, make sure this is used properly,
22 can actually be to the detriment of workers.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I've seen cases where workers were
2 given an inadequate respirator, called a
3 respirator, put into a high exposed asbestos
4 situation. And, in my view, they thought they
5 were protected. They did this work for hours
6 and hours. They were given a great disservice
7 by this PPE in the absence of a program to
8 properly select the device, to make sure it's
9 fitted appropriately and used appropriately.

10 CHAIR MARKOWITZ: Dr. Welch and Dr.
11 Sokas?

12 MEMBER SOKAS: And, this is just to
13 -- I think we've had this conversation in the
14 past in this Board where the availability or
15 the use of PPE is actually seen as a marker for
16 exposure rather than a reason to say, oh, the
17 person wasn't exposed.

18 CHAIR MARKOWITZ: Dr. Welch?

19 MEMBER WELCH: You know, my memory
20 isn't what it used to be, but I think in our
21 recommendations about the OHQ, we recommended
22 they drop those PPE questions.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Because, that's something that the
2 industrial hygienist could go back and ask
3 about, but as Kirk pointed out and John, that's
4 very complicated. And, particularly, the
5 individual.

6 So, I'm not sure that it adds much
7 to have it on the OHQ.

8 CHAIR MARKOWITZ: I'm sorry, did you
9 want to -- John, do you want to respond
10 directly top that? Go ahead and then we'll go
11 to Ms. Vlieger and Mr. Turner.

12 MEMBER DEMENT: Yes, I just, if
13 we're limited in time and resources, I'd much
14 rather see resources trying to look at and
15 determine the exposures rather than trying to
16 go through the mishmash of when PPE was or was
17 not used.

18 CHAIR MARKOWITZ: Mr. Turner?

19 MEMBER TURNER: There are a lot of
20 worker, former workers, that have moved across
21 the country and they are really sick. They
22 don't know what type of work that they did,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what, you know, where they worked.

2 So, they have a representative that
3 they called, you know, and tried to find out
4 from some of their coworkers or some other
5 person that knew this person to try to find out
6 what type of work and what type of exposures
7 that they had.

8 CHAIR MARKOWITZ: Which raises an
9 interesting question. When the OHQ is
10 administered, the person from the resource
11 center is doing it by phone, right?

12 But, presumably the worker is
13 permitted to bring a coworker or bring someone
14 else with them to assist in that. Or, if by
15 phone, to have a coworker or someone available?
16 Not usually?

17 Ms. Leiton, do you want to respond
18 to that?

19 MS. LEITON: There's no restriction
20 against it. They can bring whoever they want
21 to.

22 CHAIR MARKOWITZ: Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Ms. Vlieger?

2 MEMBER VLIEGER: For clarification,
3 my point of not usually is normally these
4 appointments are made at the claimant's
5 leisure.

6 And so, the ability to bring lots of
7 people with you is not normally accessible.

8 My experience with the OHQ, with any
9 of the people that are starting a claim, I will
10 give them a blank copy of the form and I say,
11 put it next to your chair, think about it. I
12 want you to mark it up like crazy before your
13 appointment.

14 Because, a lot of times, there's
15 brain freeze during the appointment and they
16 can't remember things. And so, I give them
17 advanced copies and I usually carry a few with
18 me because people are starting claims.

19 I say, you know, this is really
20 important because it will be used as part of
21 your claim.

22 And, what I've found on the IH

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reports is that they actually use the IHQ, but
2 many times, they're misinterpreting when people
3 say they often, always or infrequently used
4 their PPE.

5 And, they apply that across their
6 entire work history. And, as we discussed, PPE
7 is mostly for radiological conditions at the
8 sites. So, PPE is a broad spectrum of things
9 and the list on the OHQ was adequate. But the
10 use and the misuse of PPE is never addressed in
11 the questionnaire because we know a lot of what
12 has been used is improper.

13 So, I think if we're going to give
14 the OHQ to them, give them some time to play
15 with it before the appointment because the
16 appointment can be mind blowing to them.

17 And, with the older claimants,
18 especially, they're going into what they
19 conceive to be a government office and they get
20 a little what -- the equivalent of white-coat
21 fever and they forget things.

22 So, I would recommend providing them

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a blank copy well in advance that they can play
2 with so that they know what's going on.

3 And then, I always tell them, think
4 about, you know, what you were doing at the
5 time of more like, what car were you driving?
6 What house were you living in? To put a time
7 frame on when things were happening.

8 But, to just give them it at the
9 appointment, it freezes most of them up. And,
10 when you are able to provide a supplemental OHQ
11 when you remember things later, it's a little
12 tougher to get it into the system, but you can
13 always provide the supplemental information as
14 well as coworker affidavits.

15 CHAIR MARKOWITZ: Dr. Cassano?

16 MEMBER CASSANO: I just want to go
17 back to the question about PPE and add on to
18 what Laura said as using it as a marker of
19 exposure rather than a marker of protection
20 against exposure.

21 A lot of people don't know what they
22 were exposed to. They don't know what they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 were doing. They don't remember what they were
2 doing, but they darn well remember that
3 somebody gave them a respirator at some point
4 or told them to use one.

5 And then, it becomes incumbent upon
6 whoever's doing the questionnaire or the
7 industrial hygienist to be able to dig through
8 that and say, okay, you know, what processes
9 were you -- do you remember approximately what
10 you were building or what you were working on
11 and that becomes then a way to dig down into
12 what their exposures might have been.

13 So, I think it is a useful question
14 as a general question, not as a, okay, you used
15 PPE, you were protected, you weren't exposed.

16 CHAIR MARKOWITZ: Mr. Domina?

17 MEMBER DOMINA: Just a comment, and
18 this is probably an extreme case, and I'm sure
19 Garry may know that guy at Y-12 wearing PPE
20 that wasn't flame retardant welding overhead on
21 a ladder and he burned to death because he
22 didn't have a spotter and it caught fire behind

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 him and he was in PPE but not flame retardant.

2 So, you also, like Dr. Dement said
3 earlier, where sometimes that is -- can be a
4 hazard in itself.

5 CHAIR MARKOWITZ: So, we're going to
6 take a break in a couple minutes, but I want to
7 close out this conversation.

8 So, we have our recommendation and
9 we have the draft OHQ which we haven't really
10 had time to go through systematically.

11 But, we've had the comments we've
12 had today. How should we move forward on this?
13 Should we collect our comments on their draft
14 OHQ and assemble them and submit? Or should we
15 do that and look at them together in a Board
16 Meeting and agree on them and then submit them?

17 I'm looking for suggestions on that.

18 MEMBER WELCH: Well, we could have
19 the SEM Subcommittee do a, you know, we could
20 have a conference call to talk about the new
21 draft in light of what, you know, our goals
22 have been with our recommendations and then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 make some proposal to the full Board about how
2 to respond.

3 CHAIR MARKOWITZ: That sounds good.

4 Dr. Friedman-Jimenez?

5 MEMBER FRIEDMAN-JIMENEZ: Just a
6 question. I have some comments on form,
7 content and even some errors in the manual.
8 I'm going to have to leave. Should I just
9 write them up and send them in? How are we
10 going to discuss the manual?

11 CHAIR MARKOWITZ: So, Ms. Leiton,
12 I'm sorry, a question for you. What Dr.
13 Friedman-Jimenez is saying is he's got some
14 comments, corrections, factual issues in the
15 procedure manual. Should he just make note of
16 them and send them directly to you or how
17 should we proceed on that?

18 MS. LEITON: I think, ultimately,
19 it's going to be -- that's going to be the
20 easiest way because we can actually go through
21 the manual along with your comments, read
22 through them and make corrects as we need to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 make them.

2 CHAIR MARKOWITZ: Okay. Having said
3 that, I just want to make it clear that the
4 Board is not saying that it's systematically
5 going through the 748 pages and making
6 corrections.

7 Dr. Silver?

8 MEMBER SILVER: Our request to the
9 SEM Subcommittee, when you work up our
10 recommendations, we should also look at the
11 script that supposedly accompanies the OHQ and
12 ensure that, up front and at the conclusion of
13 the interview, the claimant is informed that
14 it's really important to supplement this
15 record.

16 Once the brain freezes over, people
17 go home, they run into their buddies from work
18 and a bunch of light bulbs go off and they need
19 to understand the OHQ is just the first step.

20 CHAIR MARKOWITZ: Okay, between
21 brain freeze and white-coat fever, we may need
22 to look at the Haz-Map again.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Okay, so, we're going to -- next
2 week, then, we're going to have to arrive on a
3 common date for a meeting of that SEM
4 Subcommittee.

5 So, when you get the request, that
6 committee, when you get the request for good
7 times, please respond rapidly.

8 We're going to take a 15 minute
9 break and we'll resume at 9:45.

10 (Whereupon, the above-entitled
11 matter went off the record at 9:30 a.m. and
12 resumed at 9:48 a.m.)

13 CHAIR MARKOWITZ: Okay, let's get
14 started.

15 MR. FITZGERALD: If everyone could
16 please take their seats. We'd like to get
17 started.

18 CHAIR MARKOWITZ: Okay, we're going
19 to start with Part B Lung Disease Subcommittee
20 Report which will be relatively brief. That's
21 not a suggestion, Dr. Redlich, that's just a
22 description to everybody else.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER REDLICH: This is Dr.
2 Redlich.

3 While we're waiting for the slides
4 to come up, I have included some from some of
5 the prior presentations just as a reminder for
6 both us and the DOL. And, I'm not going to go
7 through them all.

8 But, this is an update on our
9 subcommittee and the members, John Dement, Kirk
10 Domina, myself, Jim Turner and Laura Welch.

11 And, I'm very briefly going to go
12 over what we have actually done over the last
13 almost two years.

14 We -- John Dement reviewed data we
15 had received. We've reviewed about 80 Part B
16 cases. We've made three recommendations.

17 And, I think unlike some of the
18 other subcommittees, we have a list of specific
19 questions that the DOL gave us that we
20 responded to.

21 And, I put this slide second so I
22 wouldn't forget at the end, but I just really

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 thank the other members of the Part B
2 Subcommittee.

3 I needed remedial help because they
4 all actually had much more experience with this
5 program and the complexity of it. And, even
6 just what all the abbreviations were. So, they
7 and others were extremely helpful, and Laura
8 with her expertise and experience with the
9 program. And, also John Dement similarly and
10 his analysis of the data.

11 And, Kirk, as we heard, and Faye,
12 yesterday were extremely helpful in reviewing
13 my cases.

14 But, just to remind everyone, I'm
15 not going to go through all the data. I just
16 copied the data slides that we had used before
17 that Kirk -- I mean, that Dr. Dement, the
18 analysis he had done.

19 And, you know, I appreciate -- I
20 would also wanted to just say that the requests
21 that we made to the Department of Labor for
22 both data and cases were, you know, supplied

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and in a timely fashion. And, that was very
2 helpful.

3 So, but, the major point of this was
4 that I think reviewing the data was very
5 helpful to target specific issues and where we
6 focused our efforts, especially trying to
7 understand the magnitude of this program and
8 problems.

9 So, this isn't a prior presentation,
10 as are the conclusions but, as just a reminder,
11 I think that whatever imperfections there may
12 be in the data, it remains helpful to review
13 and John did a great job of that.

14 So, moving on, the Part B cases, I
15 think we've reviewed, as we heard yesterday, 80
16 of them. And, I think the point is that we did
17 identify really some fixable problems. And, I
18 think the problems that could be fixed in the
19 short run.

20 And, the major things that we had
21 identified were issues with CBD sarcoid cases
22 that were denied both because the presumption

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was not implemented or the clear beryllium
2 exposure appeared to have just been ignored or
3 denied.

4 And then, we had mentioned some of
5 the other issues that had come up that do seem
6 to be fixable such as this problem with CMC.

7 We made -- this subcommittee made
8 three recommendations. The first one, we
9 discussed yesterday related to the blood
10 proliferation test.

11 The second and the third, we are
12 waiting the DOL response on.

13 The second is a more technical issue
14 that we had been requested to provide a
15 definition of chronic respiratory disorder.

16 The third is one that we also refer
17 to as just issues with the procedure manual
18 that we hope can be improved in the future.

19 And then, we responded to specific
20 questions that the DOL asked us. I am not
21 going to go through all these questions, except
22 we did give a 13-page reply to these questions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that have numerous references in it.

2 And, was based on quite a bit of
3 information, review of the cases, the data, our
4 meetings, our site visits, our expertise and
5 the medical literature.

6 So, we are just hoping that the DOL
7 carefully reads our responses to our questions
8 and implements them where possible.

9 I think in terms of future
10 directions, we are awaiting, as are the other
11 subcommittees, a response to the second and
12 third recommendations from the Part B.

13 We're hoping that these
14 recommendations can be implemented. And, as I
15 mentioned, the specific questions that we were
16 asked our expertise on and provided, we're
17 hoping that those answers can get incorporated
18 into the procedure manual, the training
19 documents.

20 There were, I think, some small,
21 short-term, you know, some fixes are longer
22 term and would take more time to implement such

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 as, you know, revise procedures and
2 questionnaires.

3 I think there's some shorter ones --
4 short-term ones that we identified that someone
5 could look into that could really impact
6 people's lives, one of which would, for
7 example, would be the CMC that had reviewed
8 half the cases that we had been selected and
9 provided to us.

10 And, I do think that it shows that
11 there's a value to reviewing the data and
12 selected cases.

13 So, I'm going to end there and if
14 anyone else on the committee wants to comment.

15 CHAIR MARKOWITZ: Okay, so, if you
16 could --

17 MEMBER REDLICH: I'm sorry, I did
18 talk quickly.

19 CHAIR MARKOWITZ: No, no, that's --
20 no, no, that -- no, no, that was great.

21 If you could leave that slide up, I
22 have a question, Ms. Rhoads. Can we make that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 available on our website -- on the Board's
2 website because that contained a lot of very
3 useful information that people might want to
4 access.

5 So, it's a -- okay. So, if we could
6 do that.

7 I have a question for Ms. Leiton.
8 So, there's some observations that the Board
9 has made as we've discussed it and done our
10 research that here haven't been part of
11 official recommendations, such as what Dr.
12 Redlich is talking here about.

13 What the committee considers to be
14 the problematic consulting physician or cases
15 that were -- that the committee believes were
16 incorrectly adjudicated.

17 So, what kind of follow up can, does
18 DOL do from these kinds of observations?

19 MS. LEITON: I was going actually
20 ask about that CMC and that list and that
21 evaluation. Were we provided with that,
22 Carrie?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 They did an evaluation of CMCs and
2 they've -- we've been referring to the
3 particular CMC. So, I don't know if that was
4 actually submitted to us.

5 If we can get that, that would be
6 helpful. We can review it. We can maybe
7 follow up on some of the issues that have been
8 identified.

9 I don't know that that needs to be a
10 formal process. I'm not sure exactly the rules
11 in terms of can you just provide us that, we
12 can follow up on it and get back to you? Or,
13 does it need to be published? I'm not really
14 sure what the DFO rules on that are.

15 But, we're -- I would like to see
16 it. I would like to be able to follow up on it
17 however that needs to happen.

18 MEMBER REDLICH: Yes, that is
19 correct. I did not mean to imply that you have
20 not yet taken care it because that is -- we did
21 not provide any specific names.

22 And, the cases were --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. LEITON: I mean, again, it would
2 have to be --

3 MEMBER REDLICH: -- and that we
4 could provide a list of those based by the
5 event or by identifier --

6 MS. LEITON: Right.

7 MEMBER REDLICH: -- where we thought
8 there was an issue.

9 MS. LEITON: And, I think that would
10 have to be done informally and not on the
11 website just because of the nature of it.

12 CHAIR MARKOWITZ: Sure. Like where
13 we're discussing both issue of the particular
14 CMC, but also the issues of the cases the
15 committee thought were incorrectly adjudicated.
16 Right?

17 MS. LEITON: Correct.

18 CHAIR MARKOWITZ: All right, thank
19 you.

20 MEMBER REDLICH: Thank you.

21 CHAIR MARKOWITZ: Dr. Silver?

22 MEMBER SILVER: I'm interested in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 how this issue of the problem with CMC is being
2 framed? You did 80 cases, a lot of work. Out
3 of a population of how many Part B cases for
4 that relevant time frame? Do we have an idea,
5 80 was a sample of a much larger number, right?

6 So, using a poker metaphor, on the
7 first deal, you got a royal straight flush
8 recidivist CMC who had attitude, I think you
9 said.

10 If you drew another sample of 80
11 cases, it seems to me there's a possibility of
12 CMC B, bad attitude coming up in those files.

13 So, I think we may have a systemic
14 problem or it could just be one bad apple.

15 MS. LEITON: I mean, I'm assuming
16 you're referring to the analysis that was
17 conducted by this subcommittee. So, I wouldn't
18 know the answers to the universe or any of that
19 off the cuff.

20 We provided the data. They did the
21 analysis.

22 CHAIR MARKOWITZ: All right, well,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 just to get some clarity on this particular
2 consulting physician, the issue was lack of
3 objectivity? Because we were referring in the
4 discussions about attitude and other
5 adjectives. But, let's formalize it a little
6 bit.

7 Was the issue lack of objectivity in
8 their analysis? Was the issue perceived
9 conflict of interest because the person had an
10 ideological conflict of interest?

11 I think we should -- we don't have
12 to do it right now here, but we should use
13 other more specific words.

14 Dr. Cassano?

15 MEMBER CASSANO: I think Rosie's
16 going to ask the same question. You found this
17 sort of incidentally to your analysis.

18 But, our subcommittee should really
19 be taking this over so it's where the CMC
20 Subcommittee. So, if you want -- I mean, I
21 don't know how to work that --

22 MEMBER REDLICH: I'd be happy for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you to take it over.

2 (Laughter.)

3 MEMBER REDLICH: And, thank you very
4 much for offering.

5 MEMBER CASSANO: Yes, but that's
6 just --

7 MEMBER REDLICH: It was just
8 incidental observation on them as we heard by
9 Kirk.

10 CHAIR MARKOWITZ: Dr. Sokas?

11 MEMBER SOKAS: And, when we had
12 asked for charts, we actually, again, there was
13 a little bit of confusion. So, we wound up
14 sampling some of the charts that had been
15 provided for other people.

16 So, I'm assuming we reviewed some of
17 those same charts. And, as an example, there
18 was a, you know, a CMC who clearly refused to
19 consider COPD as a work related outcome citing
20 old -- and included a cherry picked citation
21 from the literature in order to do that.

22 So, I mean, again, you could

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 certainly include that in the, did the CMC
2 follow the published guidelines, you know, from
3 the program?

4 I mean, I think when we talk about
5 the auditing, the changes in the audit form, we
6 can address some of those issues.

7 I think it might be also useful, I
8 mean, we can get back in touch with Carrie, to
9 make sure that we haven't missed some other
10 ways that we can formalize that language.

11 CHAIR MARKOWITZ: Mr. Domina?

12 MEMBER DOMINA: When we stumbled
13 upon this issue, they were cases that were
14 provided to us by Labor.

15 And, I think the whole process needs
16 to be looked at, too. Because, I think one of
17 the other issues that came into play is the way
18 the questions were framed from the CE to the
19 CMC also was problematic.

20 And so, that, you know, we needed to
21 look at all of it as a whole. Because, like I
22 said, I think I had six cases that Carrie

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 assigned to me and when I -- after a couple,
2 then I had another one and then Faye and I got
3 together.

4 And then, we ran the whole list that
5 was provided by -- from Labor to us. And then,
6 it got inherently worse. And, that's why, you
7 know, because, I had to ask Mark and I picked
8 stuff out of there, it's like, wow.

9 CHAIR MARKOWITZ: Some of us
10 disagree with at least one of the statements
11 you made there.

12 MEMBER REDLICH: I think also one of
13 the reasons that I've been harping on the
14 procedure manual on the training materials that
15 is the jurisdiction of the other subcommittee,
16 is that, I think some of the adjudications
17 which we did not agree with, it was probably
18 multifactorial where things went wrong.

19 But, I think it just has to be a
20 recognition that, you know, there could be a,
21 quote, qualified CMC or the like that those
22 positions are not going to be -- they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 themselves may not be aware of the last 15
2 years of research on, let's say, COPD
3 causation.

4 And so, there is a lot of, you know,
5 a lot of the instructions is based on the
6 decision making and the rationale of the
7 physician either, you know, the CMC or the
8 treating physician.

9 And so, there's a lot of
10 expectations on these physicians that I think
11 all of us who know who these physicians are,
12 are concerned about them.

13 CHAIR MARKOWITZ: Ms. Vlieger?

14 MEMBER VLIEGER: I think we could,
15 not being on the committee but only being an
16 advisor to the committee, I think we could come
17 up with the areas that we would need to look at
18 in total in some sort of questionnaire.

19 But, what I find very disconcerting
20 in the CBD cases that we reviewed and that I
21 have seen personally is that, the doctors are
22 using the wrong criteria and not using the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 programmatic criteria for a diagnosis of CBD.

2 And, many of them are using the
3 beryllium case registry criteria which is much
4 more stringent than the DOL criteria.

5 And, when pressed through the
6 program and when I asked, you know, they're
7 using the wrong criteria, does anyone tell them
8 they're using the wrong criteria at the CMC
9 level?

10 I'm told that the CMCs are trained
11 and that's what they do. There's no corrective
12 action for that error in use of programmatic
13 guidelines.

14 CHAIR MARKOWITZ: So, but, you know,
15 some of this conversation demonstrates the
16 importance of one of our other recommendations
17 about taking a look at a sizable number of
18 claims and really identifying the systematic
19 issues.

20 MEMBER REDLICH: And, yes, and I did
21 get that incorrect. Faye is correct that I
22 think there are some discrete fixable things

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that are not, you know, either so complicated
2 or endless that they can't be fixed.

3 CHAIR MARKOWITZ: Dr. Cassano?

4 MEMBER CASSANO: Just a couple of
5 things, I think this kind of thing, and we'll
6 come up more information later is, it supports
7 the quasi-recommendation we made at this
8 meeting that you do more of a peer review type
9 CMC process so that these individuals can be,
10 you know, can be noticed earlier rather than
11 the general one or the random one.

12 And also, who is responsible for the
13 training? I know in some situations with
14 contractors, you know, the agency trains the
15 trainer and then they're responsible for
16 training their CMCs. How does it work at Labor
17 for the CMCs?

18 MS. LEITON: The contractor will
19 train their -- the contractors that work for
20 them. We will review the material and go over
21 the training with the contractor who trains.

22 MEMBER CASSANO: So, you provide the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 training material for them?

2 MS. LEITON: We will -- yes.

3 MEMBER CASSANO: Okay.

4 CHAIR MARKOWITZ: We need to move
5 on. So, are there any other comments?

6 (No response.)

7 CHAIR MARKOWITZ: So, thank you very
8 much, Dr. Redlich and the committee.

9 So, the Presumptions Working Group,
10 if I can just summarize, we had a meeting, I
11 don't believe, since the last full Advisory
12 Board Meeting.

13 We do have a presumption
14 recommendation that's still outstanding
15 relating to hearing loss that Dr. Welch drafted
16 that we submitted. So, we're waiting to hear
17 about that.

18 Actually, considering other disease
19 entities for presumptions, it's going to be
20 more challenging because the nature of those
21 illness. You can think about neurologic
22 illnesses or kidney disease or the like.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And, we haven't really discussed
2 those. Probably a good thing because we've
3 learned a lot and about through the DOL
4 responses about how presumptions are, I don't
5 know how Dr. Boden expressed it exactly, but
6 the importance of finding bridges between
7 medicine administration and the law.

8 But, so, I think that's actually a
9 good learning process for us in terms of
10 developing additional presumptions.

11 But, we will recommend to the next
12 Board that that work continue.

13 Dr. Sokas?

14 MEMBER SOKAS: And, I just want to
15 quote Dr. Boden, again, that, in general, which
16 is a big challenge, that the presumptions are
17 positive, they're not construed to be negative.
18 If you don't meet a presumption, it doesn't
19 mean you're automatically excluded, it means
20 you go to the industrial hygienist and you --
21 or, you know, you get further information
22 developed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR MARKOWITZ: So --

2 MEMBER SOKAS: And, that was one of
3 probably the most important comments I think
4 out of this meeting.

5 CHAIR MARKOWITZ: I think we've said
6 that at every meeting. I think DOL has agreed
7 with us at every meeting and I think that we
8 need -- one of the functions the Board should
9 do is actually to monitor that to see what
10 happens in practice.

11 Because, that's the only way we'll
12 know whether the universal agreement on this
13 issue is actually applied. But, I'm not sure
14 which committee that goes to.

15 But, excellent, that goes to your
16 committee.

17 Any other comments on presumptions
18 or --

19 (No response.)

20 CHAIR MARKOWITZ: The -- I wanted to
21 just spend a couple minutes on the changes in
22 the procedure manual, in part, because a Board

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 member raised this issue.

2 I don't know whether -- I have a
3 comment on it, but I can start with that, I
4 guess.

5 So, new version of the -- the
6 integrated procedure manual came out and there
7 was a transmittal letter with that in
8 September, two months ago, actually,
9 identifying the changes in the procedure
10 manual.

11 I haven't -- I have a lot less
12 familiarity with that procedure manual than
13 people in this room. I think they're the ones
14 who are not sitting around the table.

15 And, I have a hard time discerning
16 when text has changed. And, I don't -- even
17 after walking through the transmittal letter
18 about what changes occurred, I still don't
19 quite know how to look at the new version of
20 the procedure manual and understand exactly
21 what's different.

22 So, I don't know whether other Board

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 members have had the opportunity to try to do
2 that, but I'm not sure how to approach this
3 issue.

4 Dr. Sokas?

5 MEMBER SOKAS: Yes, I don't know
6 that this is going to be feasible for the phone
7 meeting that we're having coming up. I mean,
8 again, this may be new business for the next
9 Board.

10 But, that's the kind of thing that
11 it would be really helpful for me to have a DOL
12 presentation where somebody kind of walks
13 through it.

14 I think at our very first meeting in
15 D.C., there was a similar presentation about
16 some document that changes were being, you
17 know, considered for and that really is
18 enormously helpful.

19 CHAIR MARKOWITZ: So, you're
20 referring to the rule making process?

21 MEMBER SOKAS: Well, that was the
22 example. But, really, in terms of something

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 like this, just to set aside some time on the
2 next in person Board meeting to kind of go
3 through it and have the person, you know, who
4 knows this inside and out say, yes, we used to
5 do this but now we do this and pay attention to
6 this.

7 CHAIR MARKOWITZ: So, the issue is
8 not just a thing on the Board on changes in the
9 procedure manual, but actually changes in
10 policy, guidance, you know, the circulars, et
11 cetera, right?

12 So, which I think -- which we had
13 requested before and DOL does provide us with
14 lists of the changes, but actually a
15 presentation at a Board -- a brief presentation
16 at a Board meeting would be helpful.

17 Ms. Vlieger?

18 MEMBER VLIEGER: In searching for
19 the new PDF version of the procedure manual,
20 one of the things that comes up based in my
21 semi-learned Google search is the old procedure
22 manual still seems to be populated on the site

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 without any notice that it's been changed.

2 So, that's -- because it's the old
3 procedure manual that pops up many times.

4 So, if we could look into correcting
5 that with whoever runs the website.

6 And then, as far as the new
7 procedure manual, it's a PDF version, but I
8 still find it -- it's searchable, but it's hard
9 to navigate and stuff. And so, the changes
10 that have been made are pretty broad
11 incorporation of a lot of things that were
12 circulars, bulletins.

13 And so, yes, a briefing would be
14 swell.

15 CHAIR MARKOWITZ: Dr. Redlich?

16 Other comments on this issue?

17 (No response.)

18 CHAIR MARKOWITZ: If you could put
19 your name placards down because, otherwise, I'm
20 going to keep going to you.

21 So, I want to just spend a few
22 minutes talking about the public comments

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 because, you know, we have these sessions.
2 They're usually at the end of the day when
3 maybe we aren't as fully attentive as we are at
4 other times of the day.

5 But, even if we were, the issue is
6 we don't have a structured way of looking at
7 those systematically and ensuring that the
8 relative -- the relevant comments really inform
9 our conversation.

10 And, I think Ms. Rhoads has come --
11 at least on the oral comments has nicely put
12 them in a spreadsheet and our request was very
13 useful. So we have one place we can go to.

14 It's not the written comments, it's
15 the oral comments. And, we can look at them.
16 But, we don't -- in a Board Meeting, we don't
17 walk through them. The committees don't
18 separately look at them. They're not
19 particular comments that are relevant -- highly
20 relevant.

21 Our discussions are not sorted by
22 committee and then discussed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, I just wanted to spend a moment
2 doing two things.

3 One is, discussing whether there are
4 any comments yesterday that we might just want
5 to briefly mention.

6 And then, secondly, thinking of a
7 way that the newly constituted Board can
8 actually do this a little bit differently so
9 that there's more feedback.

10 Mr. Domina?

11 MEMBER DOMINA: I think a couple of
12 the comments yesterday were more pertinent to
13 the Part B Board that I heard.

14 And then, I also noted Ms. Smith
15 from the Seattle Office took care of couple of
16 them. I believe I saw her walk out with a
17 couple individuals, too.

18 So, they got -- maybe on the Part B
19 ones, maybe they need to be funneled to that
20 committee. Because, not knowing the
21 particulars of those cases, but it seems like
22 they were talking about radiation more than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 anything else.

2 Or else, you know, maybe somebody
3 talk to them afterwards to clarify and see if
4 that's where their case was. It's a Part B
5 claim and not a Part E claim. And, that might
6 clarify it.

7 I think at least two of them that I
8 recall yesterday.

9 CHAIR MARKOWITZ: Dr. Sokas?

10 MEMBER SOKAS: So, I think that DOL
11 having the Office of the Ombudsman represented
12 is also incredibly helpful and that that's a
13 huge advantage and to have the program
14 represented here.

15 So, I think each of those things has
16 been a big improvement over the, you know, the
17 course of trying to address these particular
18 comments.

19 I think some of the comments are
20 clearly intended by the commenter to have us
21 just appreciate the experiences that people go
22 through.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, some of that is not, here's a
2 problem, fix it. It's this is how this has
3 impacted our lives and that's valuable.

4 I think there was, perhaps, and this
5 may be what Mr. Domina is referring to, there
6 also seems to be a problem, perhaps, with the
7 handoff between the radiation exposures and the
8 toxic exposures.

9 And, perhaps some understanding of
10 the relationships and how people get into and
11 out of the different systems, you know, whether
12 it's one portal and then they get --

13 You know, because that, I don't
14 understand at all. And, that was an issue that
15 came up.

16 And then, the other thing from
17 yesterday that, again, there may be some new
18 causality relationships that we hadn't
19 considered or thought about that, you know, are
20 kind of percolating in the back of our minds.

21 They're in the spreadsheet, so I
22 don't know that we need to definitively respond

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to them except to know that maybe at some point
2 we would do them.

3 And then, the reminder that we
4 hadn't heard back about the solvent hearing
5 loss issue and that there were those
6 recommendations sitting there.

7 You know, again, it's challenging
8 because you can't -- you know, there's really -
9 - this is not an appropriate forum to respond
10 to individual questions.

11 But, again, I think that we
12 certainly have, over the course of these
13 different sessions, learned a lot and
14 implemented and followed up on some of them.

15 I think your concern is, are we
16 doing enough with that? And, you know, I'm
17 sure we could always do more.

18 CHAIR MARKOWITZ: So, yes, I mean,
19 my concern is not the individual claims of
20 people who need help. And, it's great that
21 there are resources in the room to help them.

22 But, I'll give you an example. Ms.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Hand raised yesterday, and she has before in
2 written comments, about what's the difference
3 between significant factor and the any factor,
4 that any exposure should be or is considered to
5 be a significant exposure?

6 Well, that reminds us that actually
7 we haven't kind of looked at how DOL treats
8 this issue of significant. I don't know that
9 there's that much to look into, but it's
10 something on -- it should be on our agenda.

11 It should go to a committee and it
12 should be looked at.

13 Dr. Sood, yesterday, said that many
14 of his patients have chronic bronchitis which
15 is symptoms only, they cough and produce a lot
16 of sputum.

17 So, the physical exam won't show
18 much, x-ray may not show much, breathing tests
19 won't show much. No objective findings. And,
20 yet, they have documented chronic bronchitis.

21 So, that's a bit of a challenge for
22 our discussion led by Dr. Redlich yesterday

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 about the issue of -- or the COPD, perhaps,
2 discussion.

3 But, in any case, it's those kind of
4 comments that need -- we need to somehow
5 integrate and not necessarily address to that
6 particular person, but because they raise
7 issues that we should be discussing.

8 Ms. Vlieger?

9 MEMBER VLIEGER: Could we set up a
10 matrix and categorize which ones should go to
11 the local resource center, which ones should be
12 referred back to the committee for review or
13 comment? And, that way, we can at least
14 disposition some of the comments and have done
15 something on them.

16 CHAIR MARKOWITZ: Sure, we could.
17 We could. I mean, if the DOL assembles them in
18 a spreadsheet, we could classify them and
19 identify which ones would be up to DOL to
20 decide what to do with the ones might go to a
21 resource center, a district office or the like.

22 And the ones -- and then, we could

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 also -- someone could assign them to a
2 particular committee for follow up.

3 I think that's what we should do.

4 MR. FITZGERALD: Doug Fitzgerald,
5 DFO.

6 We probably also get recommendations
7 looking into things that are beyond the scope
8 of the Board, so we probably just need to take
9 a look at those and see which ones we will act
10 on or refer somewhere else in the Department.

11 CHAIR MARKOWITZ: That would be one
12 of our categories, beyond the scope of the
13 Board.

14 Mr. Griffon?

15 MEMBER GRIFFON: Yes, a practice
16 that we instituted at the other -- at the
17 Radiation Advisory Board was to collect those
18 comments in a matrix and then the next meeting,
19 we'd come back and the Chairman would -- it'd
20 be on the agenda.

21 We'd come -- we'd go through those
22 comments from the prior meeting and say how

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they had been dispositioned, whether it was
2 individual claim, NIOSH dealt with it, whether
3 it was something that was going to be moved to
4 the Radiation Subcommittee to deal with, you
5 know, et cetera, et cetera.

6 So, we track those that way. And,
7 it's worked pretty well.

8 CHAIR MARKOWITZ: You know, I'm
9 reminded of another topic that's been raised in
10 public comments, use of consequential
11 conditions. We've hardly discussed that at all
12 as a Board.

13 And, it is something that falls
14 within the purview of our mission and just
15 hasn't been on our radar, despite the fact that
16 it has been raised in public comment.

17 And, we've been busy and a Board
18 should get to it, but it should be there.

19 Yes, Dr. Cassano?

20 MEMBER CASSANO: Sort of reiterating
21 what other people have said. I think there are
22 three different buckets that these belong to.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 One is an individual claimant who
2 has been having difficulty for years and years
3 and years.

4 The other is the identification of
5 what may be a systemic problem or a Board
6 issues that should go to a subcommittee.

7 And then, the third is beyond the
8 scope.

9 But, I think as far as, you know,
10 and I think we can handle the last two
11 categories very well by either sending it to
12 the appropriate Board or referring back to the
13 Department of Labor.

14 My problem with the first column is
15 that I really feel deeply that we, in some way,
16 need to make sure that there is some kind of a
17 real handoff to somebody that can help these
18 individuals, if it's not just that this is my
19 sad story, I want you to know about it.

20 But, the lady today that we saw.
21 Some of these people are desperate and if they
22 come here and they spill their guts to this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 committee and then nothing happens, we're just
2 another bureaucracy that doesn't help.

3 And so, I really feel that we need
4 to -- it shouldn't take much time to be able to
5 do some kind of real handoff of these people to
6 an ombudsman or a local advocate that they can
7 work with.

8 CHAIR MARKOWITZ: Ms. Leiton?

9 MS. LEITON: And, I mean, I think in
10 a lot of these cases, and I think Jolene being
11 here yesterday, Jolene Smith who's our District
12 Director, she can look into the cases. She can
13 help them with their issues and look and
14 determine where it is.

15 I think that if these cases can be
16 referred to us since we have the case and we
17 can work on making changes or fixing problems,
18 I think that would be a big help just because
19 we do have the case and we can do something
20 about it directly.

21 MEMBER CASSANO: I think both, we
22 may need to do --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. LEITON: Sure.

2 MEMBER CASSANO: -- in some
3 instances, we may need to do both because there
4 may be such frustrated -- and this maybe -- it
5 may be perceived, but there may be such
6 frustration with you guys that if that's all we
7 do, oh, they're just referring us back to
8 somebody that hasn't helped us for 10 years.

9 And, that's no disrespect to you,
10 but that may be the perception. So, and
11 dealing with veterans like this all the time,
12 it really sort of breaks my heart when I see
13 people that I can't help and I need to get them
14 to somebody that can.

15 CHAIR MARKOWITZ: Dr. Markowitz.

16 So, you mean the -- you say both,
17 you mean both DOL and -- I'm sorry -- OWCP and
18 the Ombudsman office?

19 MEMBER CASSANO: Any of those and
20 the Ombudsman officer or a local advocate so
21 that, you know, if they have a perception of an
22 adversary relationship with DOL already, they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have somebody that can work with them to try to
2 mend that relationship.

3 CHAIR MARKOWITZ: That would be my
4 preference, too. Because, we're not in the
5 position to sort through this, if there are no
6 objections to that.

7 Dr. Friedman-Jimenez? Okay.

8 Ms. Vlieger?

9 MEMBER VLIEGER: Getting back to the
10 question on consequential conditions, I heard
11 that there were some changes that were being
12 contemplated in how those are processed. Could
13 Ms. Leiton apprise us of that?

14 MS. LEITON: I did hear this comment
15 prior to the meeting. I looked at our
16 procedure manual, John Vance, our Policy Chief,
17 looked at our procedure manual. I'm not aware
18 of changes that have been made to
19 consequentials or being contemplated.

20 So, if you have specifics you want
21 to provide, I'm happy to look into it.

22 MEMBER VLIEGER: Could you tell us

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 how, just in brief, how they are processed now?

2 MS. LEITON: We've got an entire
3 chapter in the procedure manual that's
4 dedicated to how we process consequential
5 illnesses.

6 I could take some time to go through
7 that, but I don't know that -- I mean, I think
8 that if everyone reads the procedure manual
9 chapter, that might be easier first and then if
10 there are questions afterwards, I am happy to
11 answer them.

12 But, it's pretty straightforward
13 about how we process them, I think.

14 CHAIR MARKOWITZ: Dr. Sokas?

15 MEMBER SOKAS: And, I think that's
16 an area where clinician judgment comes into
17 play and so that the CMC reviews are going to
18 be important for that.

19 CHAIR MARKOWITZ: Dr. Silver?

20 MEMBER SILVER: At the Oak Ridge
21 meeting, I had very strong feelings similar to
22 Dr. Cassano. I'm not used to sitting like a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 potted plant when members of the public come
2 before us with these stories.

3 And, it may be wishful thinking, but
4 I seem to recall that we decided to take pure
5 Part B radiation testimony in our public
6 comment period and integrate it with the
7 Radiation Board's tracking system.

8 When Mark Griffon mentioned it at
9 Oak Ridge or one of our earlier meetings, I
10 believe we made a decision to integrate our
11 pure Part B radiation public comments with your
12 tracking system.

13 You're not on the Board anymore,
14 it's not your system. It seemed like a great
15 idea at the time.

16 MEMBER GRIFFON: No, I thought --
17 I'm not exactly sure what was, you know, what
18 we discussed, but I thought it was the idea of
19 a model that could be used similarly on this
20 Board that was used on the other Board.

21 And, I think -- I mean, my
22 experience on the Radiation Board side of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 things is that, because we always, I think,
2 have representation from the multiple agencies
3 at our meetings or at the Radiation Board
4 meetings, that like questions that came up
5 yesterday, I know one of them was a site
6 coverage issue and that's why it got referred
7 to DOL and the person's frustrated.

8 But, all the folks were there,
9 including DOE, who ultimately has to do the
10 research to provide DOL on when this site
11 coverage periods.

12 So, there is that exchange like if
13 there's comments that should go to NIOSH, they
14 know right away, they're there. DOL is also in
15 the room, so they know, okay, this one should
16 go to DOL. So, there's good crosstalk on that.

17 But, I don't know that we have -- I
18 don't know if it would make sense to have one
19 tracking system, you know, between Boards.
20 But, it would be a first step towards dealing
21 with the first bucket of cases that are clearly
22 radiation. These people are coming to us

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 because we happen to be in town and they
2 shouldn't have to have, you know, fly to your
3 next Board location to give their testimony.

4 CHAIR MARKOWITZ: So, apparently,
5 Ms. Rhoads has gotten -- received recently some
6 -- the system that the Radiation Advisory Board
7 uses in an email. So, we'll take a look.

8 MS. RHOADS: Just the spreadsheet.

9 CHAIR MARKOWITZ: Oh, okay.

10 (OFF MICROPHONE COMMENTS)

11 CHAIR MARKOWITZ: Oh, okay, okay.

12 Okay, any other comments on this
13 issue?

14 Yes, Mr. Griffon?

15 MEMBER GRIFFON: Just a last follow
16 up on that. I'm assuming that as we go and
17 identify where -- how these are dispositioned,
18 some of the people -- the staff are going to
19 say, this is a Department of -- or this is a
20 NIOSH issue and we'll forward this over to
21 NIOSH so they can follow through. Yes, so
22 there's follow through.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, we don't have to have an
2 integrated tracking system, I don't think, but
3 we definitely should forward the disposition to
4 the appropriate agencies to deal with.

5 CHAIR MARKOWITZ: Dr. Cassano?

6 MEMBER CASSANO: I'm just wondering
7 if in some instances some of the comments and
8 the speakers could be dispositioned very
9 quickly at the meeting if the Ombudsman raises
10 his hand and goes, you know, I'll speak to her
11 afterwards or something like that.

12 Or, you know, if it's something
13 that's of interest to any one subcommittee, the
14 Chair of that subcommittee say, you know, we'll
15 take a look at that.

16 And, that way, they get some instant
17 feedback and instant feeling of, okay,
18 somebody's actually listening to me.

19 Because, usually, by 6:00 in the
20 afternoon, we're all sitting here like this and
21 we may not look like we're as attentive as we
22 really are.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR MARKOWITZ: Well, you know,
2 I'm not entirely sure we can do that because it
3 involves interaction and some decision making.

4 I understand being attentive and to
5 make sure people don't feel like they're high
6 and dry. But, we have to limit the amount of
7 interaction.

8 Thankfully, the part to maximize the
9 amount of time they have to make public
10 comments and also because it's not clear
11 exactly where a committee might go to.

12 Oh, yes, I'm sorry, did --

13 MS. LEITON: No, I mean, I think I
14 said it before. We were able to take care of a
15 couple of them. We're happy to do that when
16 we're here if there's a case specific thing, we
17 might be able to help them with.

18 And, I'm sure that Malcolm and his
19 team are willing to do the same. Sorry.

20 MR. NELSON: Malcolm Nelson, the
21 Ombudsman.

22 Just to let you know, my office will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 always have a representative at one of these
2 meetings. We are always going to have a table.
3 So, always feel free, we generally don't want
4 to disrupt the meeting, but let people know, as
5 soon as the meeting is over, we will generally
6 have somebody manned at that table to assist
7 anybody who has a question.

8 And, we feel it is our job to assist
9 people who have complaints. So, you should
10 never feel like you're imposing on us. If
11 somebody needs assistance, you can refer them
12 to us. We will, if appropriate, we will refer
13 them to the Department of Labor, NIOSH or where
14 ever.

15 CHAIR MARKOWITZ: Great, thank you.

16 Okay, so let's move on.

17 In our last half hour, I wanted to
18 spend just talking about issues that we think
19 the next Board might set as a priority.

20 We can continue this discussion at
21 the telephone meeting of the Board.

22 But, also, our ideas about our last

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 18, 19 months to the extent to which we need to
2 revise our structure, the way we work, things
3 that have not worked particularly well or areas
4 that we can make improvements in.

5 We should discuss that because we've
6 been -- you know, we can -- the next Board can
7 benefit from that.

8 So, the floor is open.

9 One issue, I'll kick it off, and I
10 think the next Board should take a look at, we
11 started to do this, which is how -- what it
12 means to say that a condition is aggravated or
13 contributed to by an exposure.

14 And, we started to do that when we
15 talked about causation, but we haven't
16 systematically looked at how the Board --
17 should we have a program that treats that in
18 its consideration of claims?

19 Aside from just having this blanket
20 phrase from the statute about contributing,
21 aggravating or causing. Because that is a very
22 liberal standard and they mean different things

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for different illnesses.

2 In thinking about COPD, for asthma,
3 for instance, readily aggravated by any of
4 these exposures.

5 So, it's a topic I think that the
6 next Board should look at the program's
7 function and see how it's treated and whether
8 there are opportunities to improve their
9 treatment.

10 Other issues or other aspects of the
11 Board you think might be improved?

12 Dr. Welch?

13 MEMBER WELCH: Well, I think Garry
14 already pointed out that we need to revisit the
15 site exposure matrix at a broad level.
16 Because, I would say what our subcommittee did
17 was dive into the question of exposure assess -
18 - sort of broad.

19 The committee was -- the site
20 exposure matrix committee, what we felt really
21 we need to look at exposure assessment in
22 general for the claims process and focus on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 things that are really outside of them SEM
2 rather than the SEM itself, except for this
3 idea of adding new causation links.

4 And, partly, that's because the --
5 it's a little -- it's kind of an overwhelming
6 project, the SEM.

7 But it -- but I think probably that,
8 depending on what the composition of the next
9 Board is, either this Board -- because there's
10 a lot of turnover then, but would probably help
11 to have this Board or our subcommittee make a
12 list of some of the important points that we
13 know have been raised by comments or in
14 discussion that keep coming up.

15 Otherwise, if it's a big -- not that
16 much turnover in the Board, then the actual
17 memory of the people on the Board about the
18 discussions would probably suffice for that.

19 CHAIR MARKOWITZ: Dr. Redlich?

20 MEMBER REDLICH: You know, I would
21 just hope that continued look at the data.
22 And, I know there's data in different forms and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I had spent a lot of time looking at the
2 available data from the various sites on the
3 internet.

4 The analysis that Dr. Dement did of
5 the data we were given was, by far, the most
6 useful in terms of understanding, you know,
7 where to focus efforts.

8 And, given the magnitude of this
9 system and, you know, exposures and everything
10 we've been talking about, I do think our giving
11 where the majority of the claims are, the
12 majority of potential problems can just help
13 focus efforts or prioritize efforts.

14 And, what I recognize, you know,
15 that all data has issues and problems, despite
16 that, and it's a complicated data set
17 obviously.

18 But, I really think that can really
19 help.

20 CHAIR MARKOWITZ: That's an
21 excellent point.

22 Dr. Cassano?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER CASSANO: I think the
2 combination of our two subcommittees will
3 greatly help the work of both of them.

4 I think the direction that we need
5 to go is actually in looking again at the whole
6 process and where the weak links are in the
7 entire process from CE to CMC and then the
8 feedback back to them to see where that needs
9 to be, what pieces need to be improved.

10 Obviously, some of that is dependent
11 upon what presumptions get accepted and what
12 other issues in our recommendations get
13 accepted and some of the issues that we saw.

14 And, I think both of our
15 subcommittees would go away. But, we still
16 know we're going to have problems. And so, I
17 think that's the direction we need to go.

18 CHAIR MARKOWITZ: Dr. Silver?

19 MEMBER SILVER: In dealing with
20 exposure assessment, I think we'd all agree
21 that it can't be done well unless a claimant's
22 employment history is well documented.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And, we have seen how DOE has made
2 great progress over the last 15, 20 years.
3 Greg Lewis was at our meeting in October and
4 was pretty impressive.

5 But, at each of our meetings, Ms.
6 Blaze has submitted public comments about an
7 ongoing situation at Santa Susanna where we
8 can't even take for granted that first step of
9 the exposure assessment process documentation
10 of employment.

11 So, at some point, when we look at
12 exposure assessment, we should revisit that
13 issue.

14 CHAIR MARKOWITZ: Ms. Vlieger?

15 MEMBER VLIEGER: One of the areas
16 under the program that we have never discussed
17 is the durable medical equipment authorization
18 and also the related services for DME, personal
19 use DME and modifications to the home.

20 Sometimes this process is akin to
21 getting a government contract and it can be
22 quite burdensome to a client who's never done

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 any of that type of work.

2 The requirement to have the
3 documents to back up the request, I understand.
4 But, it is a burdensome program, even just to
5 get oxygen once it's prescribed is burdensome
6 on the employee.

7 So, I think if we could discuss
8 that. I think we have an example here at the
9 table of a claimant who cannot get a portable
10 oxygen concentrator and not through some
11 effort.

12 CHAIR MARKOWITZ: And, you know, the
13 board bronchitis.

14 That would be a weighing medical
15 evidence issue, is that where it would get?

16 MEMBER CASSANO: I believe at the
17 very first meeting, there was quite a
18 discussion about DME and the authorization for
19 DME and that the Board -- that the DOL was
20 having issues.

21 I think John Vance spoke about that
22 and that how they did sort of want our input

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 into how that authorization -- I think
2 primarily from the aspect that it's costing
3 them a whole heck of a lot of money. Some of
4 it may be legitimate and a lot of it may not
5 be.

6 And so, it is -- oh God -- I guess
7 it does come under our subcommittee unless we
8 somehow want to, as you say, reorganize so that
9 there are a specific group of people that look
10 at the whole DME question.

11 Because, we've got a lot to do, I
12 think. And, well, I'm -- it does fall in our
13 purview in some way, I think it may benefit
14 from having a smaller group actually looking at
15 it.

16 CHAIR MARKOWITZ: This is Steve
17 Markowitz.

18 The issue wasn't which committee
19 should go to the issue I was raising, was it
20 within the scope of the Board's mission. And,
21 that next Board can determine.

22 We can list it, put it on the radar

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and then say, you know, the next Board will
2 consider this and make a decision whether it
3 falls within the scope and then what to do
4 about it.

5 I think that's --

6 (OFF MICROPHONE COMMENT)

7 CHAIR MARKOWITZ: Yes, no, no, and I
8 realize it wasn't specific enough.

9 The way medical evidence, to me,
10 was, you know, task one or two of the mission,
11 not of a committee. But, I understand.

12 Dr. Sokas?

13 MEMBER SOKAS: And, just to
14 accompany that, the issue of home care services
15 in general belongs on that list because that's,
16 obviously, comes up a lot and is a cost.

17 CHAIR MARKOWITZ: Small issue.

18 Mr. Domina?

19 MEMBER DOMINA: Now that Dr. Sokas
20 just brought that up about the home health, I
21 was wondering if Ms. Leiton could enlighten us,
22 because I know that it seems that when people

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are going through the renewal process right
2 now, it's taking a fair amount of time.

3 And, I know -- I think we had an
4 update at the last meeting about what they were
5 doing to try and speed up the process.
6 Because, it is important for the people that
7 have it and then, they end up, they're out in
8 no man's land when they still need the home
9 health care.

10 MS. LEITON: So, home health care is
11 a very big issue in our program, especially as
12 we accept more claims and we have more elderly
13 people who need this care.

14 The DMEs are also a big important
15 issue.

16 So, we have determined in the last
17 year to centralize our home health care process
18 so that, when a person is referred to for home
19 health care, it goes to a specific unit that is
20 based out of national office.

21 The examiners themselves can be
22 anywhere in the country, but they report to one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 person in the national office.

2 And, the reason we did that is
3 because it's become a very growing issue. And,
4 a lot of home health care companies, a lot of
5 issues surrounding it.

6 So, we centralized the process. We
7 are looking at ways to make it more efficient.
8 We're consistent in the way we adjudicate these
9 claims.

10 In the process of doing that, we
11 have had delays. And, we've identified those
12 delays. We've worked with a couple of home
13 health care companies who have given us lists
14 of cases that have had problems.

15 And, we are working to -- we've
16 actually got a project going right now to make
17 sure that anything that might have fallen
18 through the cracks in the midst of this
19 transition, has been either authorized or --
20 well most -- anything that fell through the
21 cracks that didn't -- that have a missing
22 authorization has been corrected at this point.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And, we're notifying everybody about
2 what the procedures are, what documentation we
3 need and identifying the areas that will help
4 us avoid any further delays moving forward.

5 I believe that any backlogged or
6 lapsed authorizations have been fixed at this
7 point and we have identified ways to ensure it
8 doesn't happen in the future.

9 MEMBER DOMINA: Just a quick follow
10 up. So, you got -- so there's nobody, I guess,
11 out there in no man's land, for lack of a
12 better term? So, you're pretty much caught up
13 or --

14 MS. LEITON: I believe that we are.
15 I was expecting to have all of the cases
16 identified and any lapses completed by this
17 Board meeting. I haven't got the staff's
18 update today, but I'm fairly certain and fairly
19 confident in saying that any gaps that occurred
20 have been corrected.

21 MEMBER DOMINA: So, is it possible
22 for you to forward those to Dr. Markowitz

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 whether they could be distributed if that --

2 MS. LEITON: I would need to check
3 with the DFO to see if this is really within
4 the scope of the Board at this point.

5 MR. FITZGERALD: Yes, I kind of
6 question whether or not this whole issue is in
7 scope here. Looking at the four areas of
8 investigation the Board is authorized in and
9 chartered to look into, I'm not sure exactly
10 where that falls in the category.

11 I know it's an area of interest for
12 advocates and for anybody in the community, but
13 I'm not sure this is proper forum for that.

14 CHAIR MARKOWITZ: I think Ms.
15 Vlieger is next?

16 MEMBER VLIEGER: I'm going to
17 respectfully disagree with the DFO and Ms.
18 Leiton because these are determinations that
19 are made based on medical opinion to the claims
20 and whether or not the medical opinion and
21 supporting documents are sufficient. I believe
22 it still falls under the purview of the Board.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: I wouldn't be
2 taking issue with the fact about the medical
3 determination or the weighing of medical
4 evidence in these cases.

5 I think the issue is whether or not
6 the program is processing the renewals.

7 CHAIR MARKOWITZ: Thank you.

8 Dr. Redlich, did you have a comment?

9 One thing I think that the new Board
10 should do is we look at the most commonly
11 denied claims, the list of the conditions which
12 are most commonly denied to the extent that
13 those data are available.

14 And, secondly, I think that one of
15 the areas that the Board should look at is the
16 neurologic illness. We see it in the procedure
17 manual and Parkinson's disease.

18 We've had public commenters discuss
19 it. Ms. Vlieger's mentioned toxic
20 encephalopathy.

21 It's a very difficult area. It's
22 much more difficult than respiratory disease or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cancer and the like, but it's common. The
2 exposures were common in the workplace as DOE.
3 And, I think the Board should take a
4 substantive look at it and see if --

5 And, this is brought home in part by
6 one of the comment -- public commenters
7 yesterday, the neuropsychologist who spoke
8 about this.

9 So, I -- to me, I would list it as
10 one of the priority areas for consideration.

11 Dr. Redlich?

12 MEMBER REDLICH: I would just second
13 that. And, from the lung data we looked at,
14 there were clear changes in trends, you know,
15 more asthma, COPD cases, less beryllium.

16 So, I think seeing where to -- what
17 are the most common and uncommonly denied would
18 be very helpful.

19 CHAIR MARKOWITZ: How about the
20 functioning of the Board? I just want to open
21 this up, we only have a few minutes left, but I
22 would, in part, just to stimulate your thinking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 over the next couple months.

2 Are there ways in which we should be
3 structuring this different or functioning
4 differently in terms of communication, decision
5 making or the like?

6 Dr. Cassano?

7 MEMBER CASSANO: I think there was a
8 suggestion a while ago that, in addition to all
9 the subcommittee meetings that there be a phone
10 -- some type of phone meeting between the
11 Chairs of the different subcommittees in
12 between so that we all knew what the other
13 subcommittees were doing so there was no
14 duplication of effort.

15 And, I think in all of the other
16 work that we had to do, that sort of got
17 dropped.

18 Some of our issues are going to go
19 away because we're now combined. But, it's
20 still nice to know like the issue with the CMC
21 that you had a problem with. If we had known
22 about it or Rosie had known about it, they may

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have looked at more cases or something like
2 that.

3 So, I think that's helpful in that
4 we're not duplicating effort and not -- and if
5 there's information that can be shared between
6 the two subcommittees in between a large Board
7 meeting to further our work, I think it becomes
8 important.

9 CHAIR MARKOWITZ: Other comments?

10 (NO RESPONSE)

11 CHAIR MARKOWITZ: So, just to review
12 then what we're going to do in the next two-
13 plus months, I guess we have until mid-
14 February.

15 I think we're going to need to have
16 our telephone Board meeting, if we can, close
17 to the end of January because we're going to
18 need a little bit of follow up time after that.

19 And, the end of January would be the
20 latest. We need six weeks prior for the
21 publication in the Federal Register. So, if
22 you work six weeks back from the end January,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 then you're coming very close to where we are
2 now.

3 So, we're going to have to set that
4 meeting soon.

5 We're going to have an SEM
6 Subcommittee meeting before that, so that then
7 will be set presumably a week or so before the
8 full Board meeting.

9 And then, there's work to do in
10 terms of writing up our comments on the
11 responses.

12 We will receive hopefully responses
13 to our April 2017 recommendations which are, I
14 think, there were only two. And so, we will
15 discuss that at the telephone -- at our
16 telephone Board meeting.

17 Is there any other piece of work
18 that I've -- and then, we need to write up kind
19 of our ideas of what recommendations for the
20 next constituted Board might want to take a
21 look at and change.

22 Anything other work we need to do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 besides celebrate the holidays?

2 Dr. Silver?

3 MEMBER SILVER: I know it's an iffy
4 proposition, but if we do get reappointed and
5 we meet again in person, it would be nice to
6 have a couple of outsiders turbo-charged topics
7 for us with presentations.

8 We did a little of that in D.C., but
9 have we ever had a presentation from the
10 Ombudsman's Office?

11 (OFF MICROPHONE COMMENTS)

12 MEMBER SILVER: Yes, well, you know,
13 a refresher might be in order and I think the
14 new Board would appreciate that.

15 And, New Mexico has a State Office
16 of Nuclear Worker Advocacy. They are swamped
17 with cases, but if there are others like that
18 around the country who, you know, have the
19 track record and were looking to this Board for
20 solutions, we should consider working with them
21 in advance to give a brief presentation and,
22 you know, shake the cobwebs out.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR MARKOWITZ: Dr. Redlich?

2 MEMBER REDLICH: We haven't
3 interacted with any physicians from the
4 Department of Labor. I'm not great with names,
5 so I don't know who the government person is,
6 but I think that would be helpful.

7 MS. LEITON: That would be Dr.
8 Armstrong. I know that he's interacted with
9 the subcommittee. I'm sure that we could
10 arrange something, if that's what the Board
11 wants.

12 MEMBER REDLICH: Because I think
13 that at least the current manual suggests that
14 -- I know you've used expert -- physician
15 experts to help develop that, but it raises
16 questions about the expertise of whoever you
17 have been using in the past.

18 MS. LEITON: So, I think there's a
19 couple of things. Dr. Armstrong is fairly new
20 to our program -- to OWCP.

21 So, when I referenced other outside
22 medical consults, there have been a number from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 various universities helping us with beryllium
2 disease and things like that.

3 So, I'm not sure how that would be
4 addressed. We can talk about it.

5 MEMBER REDLICH: And, I suspect part
6 of it is when a document gets revised many
7 times, it can morph into something different
8 than --

9 MS. LEITON: Yes.

10 MEMBER REDLICH: -- the original.

11 CHAIR MARKOWITZ: Any other comments
12 or questions?

13 Mr. Whitley?

14 MEMBER WHITLEY: I'd almost -- I
15 know we don't set the date because of it being
16 a new Board, but I would hope that at least
17 some of the Board will be on the -- because of
18 continuity.

19 I'd almost think that our next --
20 the next meeting, the recommendation for this
21 Board's next meeting might need to be back in
22 Washington.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I know it's very helpful and very
2 good to go to sites and, not that we shouldn't
3 go to sites after that, but it's a -- let's
4 just assume it's part of the new Board. We've
5 got new people in Washington and these things
6 we're asking for like the doctors and all that,
7 that's where they are.

8 So, it would be real easy to get
9 presentations, meet them and do that, if you
10 had -- and it would be the new Board.

11 And so, I'm just -- this is a
12 suggestion and it's really just a
13 recommendation from this Board because it's a
14 new Board.

15 CHAIR MARKOWITZ: Dr. Cassano?

16 MEMBER CASSANO: I think it might
17 also be useful to have a presentation from
18 somebody from the Radiation Board just to see
19 how not only how they do business, but also
20 maybe some -- I know we're sort of -- we're a
21 unified set of null, but that may not be true.

22 I think there may be some issues

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that cross over in general. So, that might be
2 useful.

3 CHAIR MARKOWITZ: Any other
4 comments?

5 So, later, I'll ask you what you
6 what you mean by unified set of null.

7 (OFF MICROPHONE COMMENTS)

8 CHAIR MARKOWITZ: Okay, thank you.

9 So, we're going to close now. I
10 just want to thank the Board members. You
11 know, this meeting, I think, again, illustrates
12 how complimentary the experience of people
13 around the table, the people who worked at the
14 DOE sites for decades, people who have
15 represented DOE workers in the process,
16 scientists and physicians who have worked on
17 these issues for a long time and even those who
18 are more recent, just how complimentary we've
19 been able to work together in addressing
20 important issues within the program.

21 So, I want to thank you for that.

22 MR. FITZGERALD: I also wanted to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 thank the Board for all their hard work on
2 behalf of the Department. It's very helpful.

3 I want to thank all the public
4 participation we had. It really helped make
5 the meeting much more full and rich, I believe.

6 And, if there's nothing else did you
7 want to add anything else before I --

8 CHAIR MARKOWITZ: I wanted to thank
9 Ms. Leiton, actually, for sitting on the hot
10 seat with us for a day and a half, Mr. Nelson
11 for coming and being available and for his
12 expertise.

13 And, of course, Doug and Carrie for
14 their work with us. And, Kevin Byrd and his
15 group for the support working with us.

16 And, if I forgot to thank anybody
17 else, forgive me.

18 MR. FITZGERALD: And, with that, we
19 adjourn this meeting. Thank you.

20 (Whereupon, the above-entitled
21 matter went off the record at 10:54 a.m.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701